

PANEL MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM
SCIENTIFIC GUIDANCE PANEL

ELIHU M. HARRIS STATE BUILDING
1515 CLAY STREET
AUDITORIUM
OAKLAND, CALIFORNIA

TUESDAY, JUNE 10, 2008
9:03 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

PANEL MEMBERS

Dr. Edward Moreno, Chairperson

Dr. Asa Bradman

Dr. B. Dwight Culver

Dr. Marion Kavanaugh-Lynch

Dr. Ulricke Luderer

Dr. Thomas McKone

Dr. Julia Quint

Dr. Gina Solomon

Dr. Michael P. Wilson

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

Dr. Joan Denton, Director

Mr. Allan Hirsch, Chief Deputy Director

Dr. George Alexeeff, Deputy Director, Scientific Affairs

Mr. David Berger, Health Education Consultant, Cancer
Toxicology and Epidemiology Section

Ms. Amy J. Dunn, Research Scientist, Cancer Toxicology &
Epidemiology Section

Dr. Sara Hoover, Research Scientist

Dr. Gail Krowech, Staff Toxicologist Cancer Toxicology &
Epidemiology Section

Dr. Martha Sandy, Chief, Cancer Toxicology and
Epidemiology Section

Dr. Lauren Zeise, Chief, Reproductive and Cancer Hazard
Assessment Branch

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES CONTINUED

DEPARTMENT OF PUBLIC HEALTH

Dr. Laura Fenster, Reproductive Epidemiologist

Dr. Peter Flessel, Chief, Environmental Health Laboratory
Branch

Dr. Diana Lee, Research Scientist

Dr. Michael Lipsett, Chief, Exposure Assessment Section

Dr. Jianwen She, Chief, Biochemistry Section

Ms. Robbie Welling, Research Scientist

DEPARTMENT OF TOXIC SUBSTANCES CONTROL

Dr. Myrto Petreas, Chief, Environmental Chemistry Branch

ALSO PRESENT

Mr. Davis Baltz, Commonweal

Mr. Hasheem Bason, Parents 4 a Health Community

Mr. Mike Horowitz, California OSHA

Ms. Sumi Hoshiko, California Department of Public Health

Mr. Scott McAllister, California OSHA

Dr. Meg Schwarzman, University of California, Berkeley,
Center for Occupational & Environmental Health

Dr. Rebecca Sutton, Environmental Working Group

Ms. Andria Ventura, Clean Water Action

Ms. LaDonna Williams, People For Children's Health &
Environmental Justice

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

INDEX

	PAGE
Call to Order by OEHHA Director Denton	1
Welcome and Swearing-in of Panel Member Julia Quint	1
Meeting Overview by Chairperson Moreno	7
Update on Program Activities by Dr. Lipsett	12
Update on Laboratory Capacity by Dr. Flessel and Dr. Petreas	35
Possible Chemicals for Biomonitoring in California	
- Framework for Chemical Selection by Dr. Zeise	65
- Report on Public Input on Chemicals to Biomonitor by Ms. Lee	71
- Report on Inquiries to State Programs by Dr. Krowech	86
- Public Comment	116
- SGP Discussion	146
- SGP Recommendations	147
Approaches for Identifying Priority Chemicals for Biomonitoring in California	
- Chemical Selection Approaches and Criteria by Dr. Zeise	221
- Report on Public Input on Selecting Priority Chemicals by Ms. Dunn	223
- Report in Inquiries to State Programs on Selecting Priority Chemicals by Ms. Dunn	236
- Public Comment	253
- SGP Discussion	263
Meeting Summary by Dr. Alexeeff	275
Adjournment	279
Reporter's Certificate	280

1 PROCEEDINGS

2 OEHHA DIRECTOR DENTON: Good morning to everyone.
3 My name is Joan Denton. I'm the Director of the Office of
4 Environmental Health Hazard Assessment, one of the three
5 departments which is managing the biomonitoring program.
6 And it's my distinct pleasure to call the meeting to
7 order.

8 And I will -- the first order of business is to
9 swear in the newest member of the Panel. As you recall
10 from the last meeting, Dr. Dick Jackson, that was his
11 first and last meeting. And Dr. Jackson has gone on to
12 his creative future. And Dr. Julia Quint has agreed and
13 has been appointed to the Panel.

14 So before I swear her in, I asked her if she
15 would be willing just to say a few words about herself and
16 her interests in the program. And then I will go ahead
17 and swear her into the Panel.

18 PANEL MEMBER QUINT: Well, yeah, my training is
19 in biochemistry. That's where my degree is in. But for
20 the last almost 30 years I've worked as a toxicologist in
21 public health at the California -- which is now the
22 California Department of Public Health, primarily in
23 occupational health. I was Chief of the Hazard Evaluation
24 System and Information Service two different times in my
25 career. So my work has been mainly focused on chemical

1 hazards as they pertain to protecting workers in the State
2 of California.

3 But in the last years of my tenure in HESIS I
4 became very interested in the nexus between environmental
5 and community and worker exposures, because I truly
6 believe that you can't solve one problem without
7 addressing the others, and have worked with OEHHA, the
8 Department of Toxic Substances Control, and a number of
9 other agencies on the Cal EPA side, to address -- use the
10 same sort of toxicological data that OEHHA develops to try
11 to -- to use that data to develop better standards for
12 workers for chemical hazards; but, more importantly, to
13 look at the development of safer alternatives for
14 chemicals, because I think that's where we need to be
15 headed with all of this, is to have safer alternatives for
16 a number of the chemical hazards.

17 So my interest is longstanding, and I hope sort
18 of merges with biomonitoring, because biomonitoring I
19 think really adds a whole new dimension that has a lot of
20 public interest and can be used quite effectively to
21 develop better policies in terms of chemical exposures.

22 So it's a pleasure to join this distinguished
23 group of people who are on the Panel.

24 And no one can replace Dick Jackson. And I don't
25 think that was the impetus in selecting me. Our

1 backgrounds are very different. But I know Dick and have
2 worked with him, and it's quite an honor to have been
3 asked to be on the panel.

4 So thank you.

5 OEHHA DIRECTOR DENTON: Thank you very much,
6 Julia.

7 And now let's go over to the podium so we can
8 stand.

9 So I'm not sure whether you raise your right hand
10 or not. But at least you're standing.

11 Okay. So "I, Dr. Julia" --

12 PANEL MEMBER QUINT: -- Julia Quint --

13 OEHHA DIRECTOR DENTON: -- "do solemnly swear" --

14 PANEL MEMBER QUINT: -- do solemnly swear --

15 OEHHA DIRECTOR DENTON: -- "that I will support
16 and defend the Constitution of the United States" --

17 PANEL MEMBER QUINT: -- that I will support and
18 defend the constitution of the United States --

19 OEHHA DIRECTOR DENTON: -- "and the Constitution
20 of the State of California" --

21 PANEL MEMBER QUINT: -- and the Constitution of
22 the State of California --

23 OEHHA DIRECTOR DENTON: -- "against all
24 enemies" --

25 PANEL MEMBER QUINT: -- against all enemies --

1 OEHHA DIRECTOR DENTON: -- "foreign and
2 domestic" --

3 PANEL MEMBER QUINT: -- foreign and domestic --

4 OEHHA DIRECTOR DENTON: -- "that I will bear
5 truth faith and allegiance" --

6 PANEL MEMBER QUINT: -- that I will bear true
7 faith and allegiance --

8 OEHHA DIRECTOR DENTON: -- "to the Constitution
9 of the United States and the Constitution of
10 California" --

11 PANEL MEMBER QUINT: -- to the Constitution of
12 the United States and the Constitution of California --

13 OEHHA DIRECTOR DENTON: -- "that I take this
14 obligation freely" --

15 PANEL MEMBER QUINT: -- that I take this
16 obligation freely --

17 OEHHA DIRECTOR DENTON: -- "without any mental
18 reservation or purpose of evasion" --

19 PANEL MEMBER QUINT: -- without any mental
20 reservation or purpose of evasion --

21 OEHHA DIRECTOR DENTON: -- "and that I will well
22 and faithfully discharge" --

23 PANEL MEMBER QUINT: -- and that I will well and
24 faithfully discharge --

25 OEHHA DIRECTOR DENTON: -- "the duties upon which

1 I am about to enter."

2 PANEL MEMBER QUINT: -- the duties upon which I
3 am about to enter.

4 OEHHA DIRECTOR DENTON: "Amen."

5 PANEL MEMBER QUINT: Amen.

6 (Laughter.)

7 OEHHA DIRECTOR DENTON: Well, that's done. And
8 all the members -- the other members did the same exercise
9 last time.

10 So I wanted to take a minute and have the Panel
11 members introduce themselves, and their affiliation, if
12 you'd like. Maybe we could start with you, Dr. Culver.

13 PANEL MEMBER CULVER: Yes, I'm Dwight Culver,
14 University of California Irvine.

15 PANEL MEMBER QUINT: And I'm Julia Quint,
16 retired, CDPH.

17 PANEL MEMBER MCKONE: Tom McKone, University of
18 California, School of Public Health at Berkeley, and also
19 at Lawrence Scribner National Laboratory.

20 PANEL MEMBER SOLOMON: Gina Solomon with UCSF,
21 Division of Occupational and Environmental Medicine, and
22 also the Natural Resources Defense Council.

23 CHAIRPERSON MORENO: Good morning. Ed Moreno.
24 I'm the Director of the Fresno County Department of Public
25 Health and the Fresno County Health Officer.

1 PANEL MEMBER LUDERER: Ulrike Luderer,
2 University of California at Irvine, Occupational and
3 Environmental Medicine.

4 PANEL MEMBER BRADMAN: Asa Bradman from UC
5 Berkeley, Center for Childrens' Environmental Health
6 Research.

7 PANEL MEMBER KAVANAUGH-LYNCH: Marion
8 Kavanaugh-Lynch, Director of the California Breast Cancer
9 Research Program.

10 PANEL MEMBER WILSON: Mike Wilson, research
11 scientist with the Center for Occupational and
12 Environmental Health at UC Berkeley.

13 OEHHA DIRECTOR DENTON: Okay. Just a few
14 welcoming remarks.

15 This is the second meeting of the Science
16 Guidance Panel. Our first meeting was last December in
17 Sacramento. And at that last meeting, the Panel had the
18 opportunity to meet each other, to hear from Senator
19 Perata, who was one of the co-authors of the biomonitoring
20 bill.

21 We talked about biomonitoring in general. We
22 heard about the early efforts of the staff to implement
23 the program.

24 And the conclusion of the meeting was that the
25 Committee asked us to investigate what other biomonitoring

1 programs were out there, about the laboratory capacity and
2 the needs of the laboratories, and to bring that forward
3 to the next meeting.

4 So yesterday I think many of you were in the
5 audience as we had the first part, which was the workshop
6 to look at what other programs, specifically programs in
7 Canada and Germany and the CDC, had to -- the information
8 that could inform us about this program going forward.

9 So at this meeting the Panel is going to focus on
10 the chemical selection, which is a very important aspect
11 of the program. And we're going to be hearing about the
12 results of our staff work on public outreach and what
13 we've done to follow up on their questions from the last
14 meeting. And they will begin deliberating about the
15 selection of the chemicals for the biomonitoring program.

16 So we're looking forward to these discussions.
17 And we appreciate the Panel taking the time now to attend
18 these meetings, as well as the audience, the staff, and
19 the public who are here.

20 So with that, I will turn it over to our august
21 Chair, Dr. Edward Moreno, who will take it from there.

22 CHAIRPERSON MORENO: All right. Thank you, Joan.

23 I also want to welcome all of you for attending
24 this morning. Thank you for spending your time with the
25 Panel and the department staff.

1 There's really three goals that we'd like to
2 achieve out of this meeting. The first is to advise the
3 biomonitoring program -- the chemical selection for the
4 biomonitoring program. We also will be hearing
5 information updates from the -- about the laboratory
6 capacity and the program activities to date.

7 And this Panel -- I would expect this Panel would
8 begin to give feedback to the program based on what we
9 hear today and based on what we've heard yesterday.

10 And as Joan pointed out, the meeting follows up
11 on action items that were identified at the December
12 meeting of the Guidance Panel.

13 We will begin with an update of program
14 activities from Dr. Michael Lipsett from the California
15 Department of Public Health. And he is the lead for the
16 California Biomonitoring Program.

17 We'll follow this with a report from our
18 laboratories - laboratory staff - on laboratory capacities
19 and capabilities.

20 Then there will be some presentations and a
21 discussion of the chemicals for consideration for
22 biomonitoring. And since the last meeting, program staff
23 have done a tremendous amount of work on chemical
24 selection upon the action items from last meeting in
25 December. And that's when we'll hear some of the findings

1 from those activities. And we as a panel will give the
2 program further advice on next steps.

3 Then we'll hear about findings coming out of the
4 program's inquiries to the public and state public health
5 programs about criteria for chemical selection.

6 And the meeting will end with a summary of the
7 advice that we will give and follow-up actions we suggest
8 that the program take.

9 And I have a note here that the materials for the
10 meeting are under Tabs 2 and 3 in your briefing binders.

11 I want to let the public know very clearly that
12 there will be opportunity for public comment. And there
13 will be two opportunities:

14 The first will be after presentations on possible
15 chemicals for biomonitoring, and that's -- and that will
16 be this morning. So we'll have that opportunity for the
17 public before lunch.

18 And we'll also have an opportunity after staff
19 presentations on approaches for identifying priority
20 chemicals. That will be in the afternoon.

21 If you'd like to make a comment, I'd ask that you
22 pick up a purple card from Robbie -- where's Robbie? --
23 over here to your right. She's holding the purple cards.

24 CDPH RESEARCH SCIENTIST WELLING: The cards
25 themselves are outside. So if you didn't pick one up on

1 the way in, then you can go get one and --

2 CHAIRPERSON MORENO: Okay. And those purple
3 cards are --

4 CDPH RESEARCH SCIENTIST WELLING: If you want --
5 if you'd like to make a comment, fill out one of these and
6 give it to me.

7 CHAIRPERSON MORENO: Okay. And I also invite you
8 to, as much as you're willing to, put your name and your
9 affiliation on the cards and hand the card back to Robbie.

10 OEHHA DIRECTOR DENTON: Just put them on the
11 table there.

12 CHAIRPERSON MORENO: We will accommodate everyone
13 who wants to speak, to provide comment at this public
14 hearing -- public section. But in the interests of
15 accommodating everyone and getting through the agenda that
16 we have ahead of us, we will have to limit the time. And
17 that will just depend on how many people want to comment
18 and how much time we have.

19 We are recording -- I'm sorry. As far as
20 recording and accessing the meeting, the meeting is not
21 being webcast due to cost restrictions. But the meeting
22 will be transcribed, and a transcript will be available
23 for the public on the State's Biomonitoring Program
24 website.

25 As far as lunch and breaks, we'll take a break in

1 the morning, we'll take another break in the afternoon,
2 and we'll have about an hour for lunch. And I regret we
3 can't providing you with lunch, so you'll be on your own.
4 And we'll resume after lunch.

5 And there's plenty of places within walking
6 distance close by. And there's a list, I understand, at
7 the entrance of the auditorium, with some places for those
8 of you who are looking for a place to eat.

9 And a couple more things. The bathroom is out
10 the main entryway here to the auditorium and to the right
11 and down the hall.

12 And I just need to point out the exits. And the
13 exits are marked in the auditorium -- in the back of the
14 auditorium and to your right and to your left.

15 So with that, we are going to move on to an
16 update on program activities. And I'd like to introduce
17 Dr. Michael Lipsett, who is with the California Department
18 of Public Health. And, again, he is the lead for the
19 California Biomonitoring program. He's going to review
20 what the program has done since our last meeting in
21 December and he's going to give us a preview of some of
22 the things that will be on the agenda at our next meeting.

23 Dr. Lipsett.

24 (Thereupon an overhead presentation was

25 Presented as follows.)

1 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

2 Dr. Moreno and members of the Panel. It's a
3 pleasure to be here this -- the mic is off?

4 Just the opposite of yesterday. Okay.

5 Is this better?

6 All right. Good morning, Dr. Moreno and members
7 of the Panel. Happy to be here to give you a very brief
8 update on what we've done since our last meeting.

9 And do you have little -- you don't have little
10 screens there, so I guess you have to look at the one
11 behind there.

12 --o0o--

13 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

14 I wanted to start with some of the good news;
15 and, that is, that we were extremely busy during the past
16 few months soliciting public input into this process,
17 specifically with respect to chemical selection and
18 priorities that the Panel and we might consider in
19 choosing these chemicals. We've had three public
20 meetings, in L.A., in Fresno, and in Oakland; three
21 statewide conference calls; an online survey; and a
22 telephonic survey of state agencies.

23 And I'm not going to go into any of these things
24 in any detail because this is the bulk of the
25 presentations you're going to be hearing this morning.

1 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

2 Okay. We also had an ongoing collaboration with
3 the National Center for Health Statistics. We've had
4 weekly conference calls with them for many months about
5 what we would need to do to set up the study design for
6 the statewide survey. And while these meetings continued,
7 we did have a two and a half day May meeting in Richmond
8 with the NCHS staff to go over a lot of the details, which
9 I'm going to touch on a little bit later. But you will be
10 hearing quite a bit about this during our next meeting.

11 --o0o--

12 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

13 Some of the technical assistance that they've
14 been providing specifically are with respect to the
15 concept of operations. And what this refers to is what --
16 when we go out into the field, what do we need to do in
17 advance in terms of like dealing with the public outreach,
18 working with the county health officers trying to identify
19 clinic sites, identifying what areas to sample, how to
20 recruit people. It's an incredibly complex,
21 time-consuming process. This is what has been used with
22 the NHANES and what the Canadians are doing.

23 We've worked with them on figuring out the costs
24 of all of these and the -- of all of these different
25 tasks, different aspects of the data collection

1 methodology in terms of their protocols, in terms of
2 ensuring the data integrity and quality control, both for
3 questionnaires that would be administered to participants
4 and also for a variety of different issues related data
5 processing. And they've given us considerable help in
6 terms of designing the IT system and providing input into
7 the Feasibility Study Report that I mentioned earlier.

8 I'm going to touch a little bit on the sample
9 design towards the end of this presentation. But they've
10 been -- we've spent most of the time working with them on
11 this.

12 --o0o--

13 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:
14 We also have some not-so-good news in terms of resources.
15 We are getting no new funding for 2008-2009 in the
16 Governor's budget, as well as a 10 percent reduction of
17 the existing resources to address the -- this is done to
18 address the state's fiscal crisis. And I'm not going to
19 make any predictions. I don't think it would be wise for
20 anybody to do so regarding our budget for the next couple
21 of years.

22 --o0o--

23 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:
24 So I wanted to just touch on a few of the issues
25 that we've been dealing with with the CDC. And this is

1 just kind of a foretaste of what we'd like to discuss in
2 greater detail with you at the next meeting.

3 The first of these is: How do we implement the
4 enabling language with respect to what is a representative
5 sample in the preamble? Or more specifically within the
6 Health & Safety Code, that the individual selected to
7 participate in the program shall reflect the age,
8 economic, racial, and ethnic composition of the state?

9 --o0o--

10 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

11 So does this mean do we want to have a
12 self-weighting sample with race, ethnicity and other
13 variables that are proportional to their estimated
14 population proportions? For example, if we wanted to
15 include native Americans in this, that would -- the number
16 that would be generated would be on the order of about two
17 dozen who would participate in the program.

18 Or do we want to oversample for certain
19 subpopulations and age groups to allow for more
20 statistically robust prevalence estimates and comparisons
21 among them? If we want to do that, that requires a larger
22 total sample size and it requires additional screening
23 when you contact the individual households and, therefore,
24 additional costs.

25 And these are not issues that -- I don't -- that

1 we need to discuss at this point. But I just wanted to
2 indicate that these will be things that are going to be
3 very important in terms of making the decisions about what
4 the statewide sample would look like.

5 --o0o--

6 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

7 We will be using the statewide sample, a cluster
8 sampling strategy. Even though the statistical idea would
9 be a simple random sample of the entire population of the
10 state, but that would be prohibitively expensive.

11 Cluster sampling decreases the cost, but it also
12 decreases the statistical power. And this is what all
13 these -- the national surveys do, is to -- including
14 NHANES and the Canadian studies, is to use cluster
15 sampling.

16 --o0o--

17 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

18 And this is a slide I showed at the last meeting.
19 This would be an example of the cluster sampling. And It
20 should look familiar to you from yesterday. This is the
21 same overall strategy that the Canadians are following as
22 well. You start with primarily sampling units, which in
23 this case would be counties, take a random sample from
24 among those, which might be census tracts or a random
25 sample of homes from within those census tracts, and then

1 you would contact those specific homes and identify which
2 of the people living there, if any, might meet the
3 criteria that we would want for participants in the
4 program.

5 --o0o--

6 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

7 There are a number of other issues regarding
8 sample size. I mean how precise do we want our estimates
9 to be? Looking at the standard errors of 10 percent or
10 versus 20 or 30 percent, these would make a big difference
11 if, say, the prevalence of a concentration of -- say, of a
12 specific concentration of a chemical that was 10
13 percent -- or, say, 10 percent within the population, and
14 if you had a 30 percent standard error for that, it would
15 be a very imprecise estimate. So the more precise, the
16 smaller the standard error, the bigger the sample we're
17 going to need to have.

18 We want to be able to have power to detect
19 specific differences -- percentage differences between
20 groups. We have to figure out, well, if we want to do
21 comparisons among different groups for the concentrations
22 of different chemicals, how big a difference do we want to
23 be able to detect with adequate statistical power? We
24 want to be able to detect chemical trends over time. So
25 if you have really small numbers of people, you're going

1 to have huge error bars. So that even if you see what may
2 look like a decrease or an increase in a particular
3 chemical, it may not be statistically -- it may be
4 basically the same thing if the error bars are really
5 large.

6 --o0o--

7 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

8 Other study design issues, just the numbers of
9 primary sampling units a year. Do we want to just look at
10 2 counties or 8 counties or 15 counties a year? That's
11 going to make a big difference in cost. What data do we
12 want to collect and analyze besides the designated
13 chemicals? Anthropomorphic measurements. Do we want to
14 collect lung function data? Do we want to collect the
15 whole suite of health data that is used in NHANES? What
16 languages are we going to use? And you hear some data
17 comparing California with the rest of the U.S. We have a
18 lot larger immigrant population here, as you can see. And
19 nearly 40 percent of the population whose first language
20 is not English.

21 --o0o--

22 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

23 So all of these things are going to involve
24 trade-offs, the biggest one being the sample size and the
25 determinants of sample size versus what the budget is.

1 You know, the number of primary sampling units and the
2 geographic coverage versus the complexity of logistics.
3 The more sampling units you have, the more complicated it
4 is going to be to get to all of these locations to sample
5 people.

6 Like yesterday we saw within Germany with a
7 children study, they had nearly 150 PSUs were for about
8 1800 kids. That would result in sampling about 12
9 children per site. That would be very difficult for us to
10 do here in California. And the CDC in Canada are using
11 the number of 15 PSUs. Or in the U.S. that would be 15
12 counties per year to represent the entire country. There
13 are good statistical reasons for doing this kind of thing,
14 but it is going to be a trade-off that we're going to have
15 to deal with. Then the issues that I raised earlier about
16 racial, ethnic, age, and economic groups. And there are
17 many others.

18 So this is a foretaste of things that are going
19 to be coming up at the next meeting.

20 And I think with that, I'd like to conclude.

21 Thank you.

22 CHAIRPERSON MORENO: All right. Thank you, Dr.
23 Lipsett.

24 At this time, I'd like to invite the Guidance
25 Panel members to ask questions of Dr. Lipsett and the

1 program.

2 PANEL MEMBER WILSON: Michael, I have a question
3 for you on the question of statistical power if you do
4 proportional sampling and, you know, the problem of low
5 numbers in some of the subgroups. How did the CDC deal
6 with that? Did they oversample in those groups?

7 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

8 Well, they are only looking at whites -- or
9 non-Hispanic whites, non-Hispanic blacks, and Hispanics.
10 I mean they will include some people of other race
11 ethnicities in their surveys. But the basic focus is just
12 on those groups. And those -- they have done I believe
13 oversampling for African Americans in that and Hispanics
14 as well in order to be able to make comparisons among
15 these different racial and ethnic groups. But, for
16 instance, native Americans are not included and there's
17 Asians -- Asian Americans are not included in there. And
18 in California that's a big issue. We have 12 percent of
19 our population is Asian or Asian American versus 4 percent
20 for the rest of the country.

21 PANEL MEMBER WILSON: Was that the rationale for
22 them doing that? Was it a problem of statistical power?

23 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:
24 Oversampling?

25 PANEL MEMBER WILSON: For choosing, you know,

1 these three sampling categories.

2 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

3 I can't answer that. This was begun in 1971 when
4 I was still in school.

5 (Laughter.)

6 PANEL MEMBER WILSON: Oh. I was only 11.

7 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

8 And I don't know the original rationale for the
9 selection of those.

10 PANEL MEMBER SOLOMON: Can you tell us a little
11 bit more about the information technology needs that were
12 identified in this feasibility -- whatever it is -- FSR,
13 Feasibility Study Report and, sort of the, you know, if
14 possible, just a sort of general ballpark of the budget
15 need that's identified there?

16 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

17 It's a large amount.

18 (Laughter.)

19 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

20 But we're looking at on the order of probably
21 several million dollars a year to get it up and running.
22 And some of the issues that are -- or were addressed in
23 this are being able to collect data, say, on laptop
24 commuters -- commuters -- computers, and to have this data
25 submitted electronically to the laboratories or to the

1 headquarters; to set up tracking systems for the samples
2 when they're collected, have them bar coded, and to be
3 able to have that tracking system interface with the
4 laboratory information management systems; to redo the lab
5 information management system that we have in the
6 California Department of Public Health, and to have that
7 interface with the LIM system, with DTSC; to be able to
8 set up a data set that will have a variety of different
9 levels of access or tiered access so the program staff and
10 the public and researchers might have different abilities
11 to access different degrees of the data set.

12 But if you'd like to read the FSR -- I don't know
13 that that's publicly available -- but it's several hundred
14 pages going into all this detail.

15 But it's a large amount of money.

16 CHAIRPERSON MORENO: All right. Dr. Culver, do
17 you have a question?

18 PANEL MEMBER CULVER: Yeah. Michael, since CDC's
19 already sampling in California, can you use their sample
20 as a part of a state sample that you're planning to put
21 together?

22 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

23 We've discussed this with them. But it's been
24 made pretty clear that if we want to have a sample that's
25 representative of the State of California, that we would

1 not be able to use this sample that they currently get.
2 For instance, they choose a sample that's meant to be
3 representative of the country. And for their Hispanic --
4 to be able to fill their Hispanic quota for their survey,
5 they've often come to Los Angeles, and especially East Los
6 Angeles. So that the individuals selected there might not
7 necessarily even be representative of the County of Los
8 Angeles, but they do fulfill the need of the CDC to fill
9 out their particular quota.

10 It's not designed to be representative for any
11 state. And they will not -- I mean in terms of -- if we
12 go through this cluster sampling process, we would not be
13 likely to identify the same individuals that they would
14 for their national sampling.

15 PANEL MEMBER CULVER: No, I understand that the
16 CDC population that they sample is different than the
17 California population distribution.

18 However, for example, they are sampling
19 Hispanics. Can we use some of their Hispanics in our
20 sample and some of their work that's already being done
21 added to what you want to do in order to get a California
22 representative population?

23 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

24 Okay. Well, let me put it this way. When Dick
25 Jackson was a member -- well, he was former member of the

1 panel. And he used to be the head of the national Center
2 for Environmental Health that runs that -- that does the
3 analyses for the NHANES program. And when Dick was the
4 former Chief Public Health Officer for California, he
5 actually asked them if they would make some of these data
6 available to us for this program, and they would not do
7 so.

8 There are variety of I think political and
9 ethical issues as well in terms of accessing the data.
10 Although it may be possible to do some analyses of their
11 entire data set, as Dr. Osterloh mentioned yesterday, at
12 their data center. These are not data that they make
13 available to the states. And I think it would -- we have
14 discussed this with our colleagues at CDC. And I think
15 maybe at the next meeting we can go into this in greater
16 depth as to why this is not something that would be likely
17 to be able to work.

18 PANEL MEMBER CULVER: Since CDC already is doing
19 sampling in California, can we use some of their sampling
20 technology and their sampling resources such as the
21 trailers to do sampling for our program here?

22 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

23 No. The trailers are not -- they're in constant
24 use. They're traveling -- they come to a particular
25 location, they set up, they do the sampling, they strike

1 it, they go to another location. They're in use
2 constantly throughout the year.

3 One possibility logistically might be, that we've
4 talked about at CDC, is to, you know, piggyback possibly
5 some of the logistics, the field operations that we have
6 with the people who do the field operations for them. But
7 they won't do it for nothing. We would have to pay their
8 contractors the same as we'd have to pay any contractor.
9 So we'd be working directly for us. But that is a
10 possibility.

11 OEHHA DIRECTOR DENTON: Michael, wasn't there an
12 agreement with CDC and ourselves to do some kind in-kind
13 support, training of staff on equipment? I mean wasn't
14 there some agreement that we --

15 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

16 Right. That's with another part of a CDC.
17 That's with the National Center for Environmental Health
18 with the laboratories. And, in fact, our laboratory
19 staff, they can perhaps tell you about their visit back
20 there a couple of months ago.

21 But there is an agreement with the National
22 Center for Environmental Health to do some in-kind
23 training and possibly to do a small number of samples in
24 their laboratories. So that's correct. But not for this
25 dimension of things, not for the field operations.

1 PANEL MEMBER BRADMAN: Michael, another area.

2 I don't think we should spend too much time on
3 this. But of course the National Children Study is
4 starting to go into the field. And within nine counties
5 in 13 locations there's going to be something like 13,000
6 children enrolled in California. But I think the sampling
7 strategies both in terms of biological environmental
8 samples and identifying participants is very similar to
9 what you're talking about. So I think there might be a
10 model there that's going to be piloted soon that could
11 help with some of this.

12 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

13 Yeah, we do intend to try to establish some
14 linkage with the National Children Study. And to the
15 extent that we are able to piggyback on some of the
16 efforts there, that would be useful to do. But, again,
17 this is something that requires resources to do.

18 PANEL MEMBER BRADMAN: Yes.

19 PANEL MEMBER QUINT: Michael, the bad news part
20 of this -- the not-so-good news, as you framed it, not bad
21 news, is that -- I see two things: One, the uncertainty
22 about the budget in future years, which would
23 somehow -- in some ways affect chemical selection if
24 you're trying to look at trends over time. But also I
25 guess my major question is, knowing what you guys know on

1 the inside about cost and uncertainty of the budget in
2 future years, have you done some quick sort of assessment
3 of what this means in terms of the number of chemicals
4 or -- you know, because we're charged with, you know, the
5 selection. And it sounds to me like, given the
6 differences between California and nationally, we probably
7 will sample more or additional groups. We have foreign
8 born. I don't know if that means non-English speaking or
9 just foreign born but English speaking, which would mean
10 translations and all sorts of extra resources.

11 So have you any idea of, you know, what we're
12 talking about in terms of, you know, just compared to CDC
13 or some of the other programs we heard about yesterday,
14 the diminished number of chemicals we're talking about --

15 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

16 The labs are going to address that in their
17 presentation this morning.

18 PANEL MEMBER QUINT: Okay, great. Thanks.

19 PANEL MEMBER WILSON: I'm going to just pick up
20 on that. It's sort of related to the first question, that
21 it's -- and I want to get your sense. Trying to conduct a
22 representative sample across the racial and ethnic
23 spectrum of the State of California, if that in, you know,
24 your discussions up to date is cost prohibitive and would
25 put us into a position of, as Julia is saying, sampling a

1 very small set of substances just because of the potential
2 scope of what you'd have to do to capture representative
3 samples.

4 So I guess the question -- my question is, you
5 know: What is your sense of where we're headed if we're
6 really headed toward an approach that the CDC has taken,
7 looking at sort of major racial and ethnic groups and
8 sticking with that, or really trying to capture a
9 proportional sample?

10 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

11 So your question is?

12 PANEL MEMBER WILSON: You asked it as a question
13 in terms of the sampling strategy.

14 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

15 Right. And I think this is something --

16 PANEL MEMBER WILSON: And I'm asking: What is
17 your sense of where we're going?

18 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

19 Well, I think it -- well, there are two aspects
20 of that. One is the budget and the resources. And I
21 can't really make any predictions about that. I can say
22 though that with the current set of resources that we
23 have, if this is what we're going to be limited to going
24 forward, that we will probably end up doing smaller
25 community-based studies and not a statewide survey.

1 But I'm going to be more optimistic than that and
2 say we're going to get some additional resources to do
3 this.

4 And then the second issue really is the one that
5 Doug Haines mentioned yesterday. You really have to
6 decide what do you want to get out of this survey. Do you
7 want to end up with just mean estimates of different
8 chemicals and different groups of the populations? Or do
9 you want to be able to make comparisons? Do you want to
10 be able to look at, say, some of the determinants of
11 exposures in different groups, for example, because we
12 want to develop questionnaires that are going to be
13 exposure related, to look at behaviors and different kinds
14 of consumer product use and this sort of thing that may
15 affect exposures?

16 If you want to have a more analytical type of
17 program where you're able to make these kinds of
18 comparisons, then you're going to be looking at the
19 oversampling for specific racial and ethnic groups.

20 If you want to have a self-weighting sample, then
21 some of the groups that would be included, like native
22 Americans, for example, would be, you know, two dozen.
23 And then you also have issues related to geographic
24 coverage then too, because you're not going to have large
25 numbers of native Americans or some of the other ethnic

1 and racial groups evenly distributed throughout the state.

2 It's only in a few locations.

3 CHAIRPERSON MORENO: Dr. McKone, do you have a
4 question?

5 PANEL MEMBER MCKONE: Yeah. It's sort of to
6 follow up on that.

7 I mean I realize what you're -- this gets a bit
8 technical. But what you're really getting at is all these
9 trade-offs among -- you know, do we want a median, which
10 doesn't take a lot of samples, and then a lot of
11 attributes. I mean the CDC goes for a fairly broad
12 coverage of percentiles, which is why they restrict it to
13 really just race, ethnicity, gender, age factors and no
14 spatial characteristics essentially.

15 But another way to do is to really only focus on
16 the median or some high percentile, and your confidence
17 about that, which would take fewer samples, and include
18 the geography, not as a random sample for coverage but as
19 actually an attribute for comparison. And we could do an
20 urban versus rural. So we could archetypal urban region,
21 archetypal rural, maybe, you know, come up with a list,
22 which is a much different approach. And this is where if
23 you went that route it would be valuable to send someone
24 into that closed room where you can look at the raw data.
25 Because we did find out that they do collect, you know,

1 they do -- they set up two sites in California -- the CDC
2 sets up two sites in California. And, again, I think
3 you're correct. It's not a representative sample for
4 California. But it's still loaded with a lot of
5 information. Because they heavily oversample California
6 because it meets their -- it's their best opportunity to
7 fill their matrix of race ethnicity by age category
8 without a lot of work.

9 So, anyway, one of the things we really have to
10 talk about is shifting to very -- you know, actually, in a
11 way, much smaller samples with lower power overall, but
12 maybe target them to have high power on just one moment or
13 one point of a distribution, so we could have some
14 confidence just about compare median groups, and get a lot
15 more diversity in that.

16 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

17 Yeah. Well, we have talked about this issue of
18 trying to look at, say, urban-rural differences and
19 geographic coverage, and also looking at sort of simpler
20 descriptive types of statistics for this too. And these
21 are all things that we've discussed and we wanted to go
22 into in greater depth at the next Panel meeting.

23 But I think those are good points and they're
24 ones that are worth delving into.

25 CHAIRPERSON MORENO: Dr. Lipsett, I was

1 wondering. You've mentioned a couple of times that
2 there's some fiscal constraints that are playing -- have
3 an impact on what is ultimately decided.

4 Do you or your staff, do you think you could
5 briefly tell us what the budget situation is, how much
6 initially the program expected in terms of revenues and
7 how much you expect down the road?

8 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

9 You mean how much we projected that the program
10 would cost on an annual basis?

11 CHAIRPERSON MORENO: Um-hmm

12 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

13 We had estimated that it would be in the range of
14 about 9 to \$10 million a year.

15 CHAIRPERSON MORENO: And that would be to run it
16 to what degree? A full program, or is that --

17 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

18 Um-hmm.

19 CHAIRPERSON MORENO: Okay. And then what is
20 actually budgeted for next year, say?

21 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

22 Between the three departments, it's about 1.6
23 million.

24 OEHHHA DIRECTOR DENTON: Michael, when was the
25 program to be fully up and running, 2010 or 20 -- what was

1 the requirement?

2 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

3 We were anticipating that it would be like 20 --
4 I think the initial rollout was 2011.

5 Is that right, Diana?

6 CDPH RESEARCH SCIENTIST LEE: Yes.

7 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

8 Yeah. Okay.

9 So it would be a five-year rollout is what the
10 administration had asked us to do.

11 OEHHA DIRECTOR DENTON: And that was to be this
12 slow ramp up of resources. But the issue -- the primary
13 issue with this is that the funding source is the General
14 Fund. And so we all know what the situation is with the
15 budget. So we didn't get any increase funding this year.
16 And, in addition, we had a 10 percent cut. And then, in
17 addition, we don't know what the funding is going to be
18 for the out-years. There's been some sort of, well,
19 fiddling around with the budget inside the Legislature.
20 But at this point in time it's General Fund.

21 So that's just to kind of lay out for the Panel.
22 That's what we're facing.

23 PANEL MEMBER BRADMAN: When you say fully rolled
24 out in 2011, do you mean a first report -- exposure report
25 in 2011 or first in the field in --

1 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

2 The first full two-year cycle out in the field.

3 I mean first year.

4 PANEL MEMBER BRADMAN: First year?

5 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

6 Yeah, yeah.

7 PANEL MEMBER BRADMAN: Okay.

8 CHAIRPERSON MORENO: Are there any other

9 questions from the Panel?

10 No?

11 Okay. It's 9:45.

12 Thank you, Dr. Lipsett.

13 Now we're going to have an update on the
14 laboratory capacity. And we did ask for an update on the
15 laboratory capacity in December. And so this is in
16 response to the Panel's request.

17 I'd like to introduce Dr. Peter Flessel -- Peter
18 Flessel and Myrto Petreas.

19 Peter's with the California Department of Public
20 Health. And he directs the California Environmental
21 Health Laboratory. And that laboratory performs tests for
22 metals and nonpersistent organics.

23 And Myrto is with the California Department of
24 Toxic Substances Control, DTSC. And her lab performs
25 analysis on persistent organic compounds.

1 And they'll be making a joint presentation.

2 (Thereupon an overhead presentation was
3 Presented as follows.)

4 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

5 FLESSEL: Hello, Panel members. Good morning. Thank you.

6 You've discovered our first two slides.

7 Next slide please

8 --o0o--

9 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

10 FLESSEL: Now, just to distinguish the two laboratories
11 again in terms of our functions. The Department of Public
12 Health laboratory, as Dr. Moreno said, will focus on
13 metals and nonpersistent organics chemicals that leave the
14 body quickly. We'll test most of the chemicals in our
15 laboratory in urine, although we will test some metals in
16 blood. In addition, we have the responsibility to process
17 and archive the samples.

18 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF

19 PETREAS: And the DTSC lab will be building on our
20 strengths. We'll be focusing on the persistent organics,
21 with chemicals with long half-lives that accumulated in
22 the body. And we've done this for many classes of
23 chemicals in the serum. And we plan to start with those
24 type of classes.

25 --o0o--

1 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

2 FLESSEL: The next slide please.

3 Michael mentioned some of our recent activities
4 as far as staff in the Department of Public Health. We've
5 managed to hire four and a half persons and two in DTSC.

6 We have arranged for a lab remodel, which will
7 occur this summer. We actually walked through the
8 laboratory the other week with the Department of General
9 Services staff that's going to be doing it. And they're
10 very optimistic that they can get the job done soon.

11 And both laboratories are in the final stages of
12 procuring their testing equipment which we expect to be
13 delivered in the summer or in the fall.

14 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF

15 PETREAS: Well, out of the two DTSC staff, we have only
16 hired one real person and she's here and I want to welcome
17 her, Yunzhu Wang, chemist. And hopefully next week we'll
18 select the second position. So we're getting there.

19 Now, in addition to meeting with the entire
20 working group, we have formed the Laboratory Subcommittee
21 and we have regular meetings. And we have focused on
22 navigating the State procurement labyrinths in selecting
23 equipment and visiting vendors together, selecting the
24 proper equipment and helping with the process.

25 We meet and talk about methods. We want to align

1 our quality control, quality assurance with the two labs.
2 And we also want to make sure that any transfer of data
3 from in between the two labs is done securely and safely
4 through our Laboratory Information Management systems and
5 any kind of safety issues and quality control to go to
6 coordinate.

7 We also visited the CDC lab back in March. And
8 it was very impressive. We're very envious of their
9 facilities and their capacities. We learned a lot.

10 We've -- first of all, we formed a lot of
11 personal institutional relationships. So it's very good
12 to know whom to talk to and who will be there to answer
13 your questions.

14 We also got some good ideas about equipment, some
15 small equipment that would greatly increase our
16 throughput. In fact, some of the equipment that we were
17 waiting to be delivered are some of this automation,
18 sample preparation machines that will help a lot of
19 throughput.

20 And the CDC's supposed to help us and have
21 visits. We're going to send staff for hands-on training.
22 I've changed methods, I've changed standards. They're
23 very supportive. They already gave us all the
24 methodologies. And we plan to send the first staff
25 hopefully in the fall for hands-on training.

1 And now we're working on the development of an
2 memorandum of understanding so we can solidify exactly
3 what expectations are and the timelines for these things
4 to happen.

5 Now, for the last point, both our labs are used
6 to receiving samples either from a clinic for blood lead
7 or from some field or hospital for epidemiological
8 studies. And these are already, for example, blood tubes
9 that we receive frozen and we stick them in the freezer
10 and we pull them out as we need to and we do our methods
11 and produce results.

12 But for this biomonitoring program we would need
13 to test some other steps which are before the samples come
14 to us. So that any of the steps, the shipping, handling,
15 that do not compromise the samples, by the time we receive
16 them they're way they have to be. So we need some real
17 samples. And to do that we need some real people. And to
18 do that we have to have approval from the Committee for
19 the Protection of Human Subjects.

20 So we proposed a pilot study to test the
21 laboratory components over the biomonitoring program for
22 the convenience of the labs again. So this is only we
23 need to get some people to give us blood and urine so our
24 labs can not only process them in the field and send them
25 to the labs, but test them, see what kind of ranges we

1 expect, because some of the chemicals that we may have in
2 California may not be similar to CDC. And we need our
3 labs to be prepared for the standards and the ranges for
4 the instruments to make sure we don't have any problems on
5 the real studies out.

6 So we proposed the pilot. And I'm very happy to
7 say that on Friday I was in Sacramento and it was
8 approved. So we should be -- when we're ready again --
9 when the lab is ready confidentially, we plan to have
10 about a hundred people. And you're welcome to
11 participate. It's confidential.

12 So when we're ready, we'll have some real
13 samples.

14 --o0o--

15 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF
16 FLESSEL: We have to mention the challenges specifically
17 regarding the laboratory. The Public Health Laboratory
18 has no operating funds for such things as chemicals,
19 standards, solvents, glassware, et cetera, after July
20 2008. So everything else that follows will be dependent
21 upon having some operating funds.

22 The DTSC lab is below critical mass, with 1,
23 going on 2 staff below the mass that's really required to
24 sustain and fuel further progress.

25 --o0o--

1 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF

2 PETREAS: So this is the reason we're here today. Last
3 December you asked us what can we do -- what can the labs
4 do with only the current resources? So by the time the
5 program is up in the field, you've already said no more
6 funding. What could we do when the equipment come and
7 when we was ready to process samples?

8 And, also, what could the labs do if we had the
9 resources we wanted for a fully implemented and funded
10 program?

11 So the next slide.

12 --o0o--

13 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

14 FLESSEL: This is basically the summary of our best guess
15 what we could do when the program is launched. We put
16 down 2012 based on the fact that we didn't get any funding
17 this year.

18 There are two points to make before we go into
19 the details of this slide. First of all, the testing
20 capabilities that are listed there are only examples.
21 These are chemical classes that we're interested in. But
22 it's by no means the ones that we expect. I'd be
23 surprised if we don't do metals, frankly. But these are
24 just examples to illustrate the types of things that we
25 could do at the two levels, current funding and full

1 funding.

2 The second point has to do with having you
3 understand how complex the specimen management business is
4 and how that in fact will with current funding will limit
5 the number of participants per sample.

6 Now, you imagine how we started out. We started
7 small. We're trying to develop our expertise as we go
8 forward. So we managed to hire a few staff. We're
9 fortunate in having some one-time equipment purchases.
10 And we're in a position now to begin to establish and
11 validate our methods.

12 Now, as a sort of next step is the whole process
13 of the multi-specimen management program. A participant
14 doesn't equal the sample. A participant will give us
15 many -- several samples, certainly a urine sample, a
16 number of blood tubes depending on the tests that will be
17 run. When those samples come to the laboratory, they'll
18 be logged in. Then they will be allocated for shipment to
19 several locations, one to a private clinical laboratory to
20 do various clinical tests, at least the creatinine and
21 lipids for normalization purposes, as well as some other
22 indices of cardiovascular and infection disease markers.

23 We will also be sending samples to the packaging
24 and passing the samples on to the DTSC laboratory for
25 their testing. And the same thing within our own

1 laboratory, sending the samples around to the different
2 units in our lab to do testing. And then we'll be putting
3 them away in the archives.

4 And then as you heard yesterday how special these
5 samples are that programs that we heard from yesterday are
6 often getting the samples back if there's something left
7 over. For example, if we send Myrto a specimen for
8 testing, we would typically send her twice as much as she
9 needs so in case she has a problem testing it, she could
10 repeat it. Well, suppose she doesn't. She typically will
11 not have a problem testing it the first time. She'll have
12 half the sample left. We'll get that back. So that means
13 there's archiving coming back.

14 All of that is complicated. We have the LIM
15 system that you've heard about, and then we'll be really
16 customizing that to serve the needs of the program. But
17 there have to be efforts put in, human resources, to make
18 it work.

19 So if we were to take our current funding, DPH
20 funding, 3 1/2 total lab staff, 1 1/2 million one-time
21 equipment purchases, and then we could begin to test, say,
22 three panels - metals, organophosphates, and phthalates,
23 for example. We would have to divert laboratory staff in
24 order to process and manage the specimens. As a result,
25 we could not handle more than about 500 participants per a

1 two-year cycle.

2 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF

3 PETREAS: Again, as an example we propose to look into the
4 fluorinated compounds with our two staff. Now, we used to
5 do the POPs, the persistent organics, the PCBs, the PBDEs,
6 the pesticides, in serum. This is with extramural
7 funding. And usually with one -- with one contract staff,
8 that we already have, and some support from state staff,
9 we manage 500 samples for a year.

10 So we could have managed -- the fluorinated are
11 new. But apparently according to CDC they're more easy
12 and a faster -- a more faster turnaround. So we could say
13 that we can handle that. But, again, the limiting factor
14 will be how many samples would we get from Peter's lab.
15 So it's a vicious circle there.

16 Again, the fluorinated is the one that we propose
17 to start with. But we have the capability, not the
18 capacity, to do the POPs. So hopefully it will be easier
19 to transition once we have more resources. We can
20 transition staff, who are already trained and proficient,
21 to produce immediately once we get the additional
22 resources.

23 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

24 FLESSEL: I like your optimism.

25 But you -- Michael responded to the question from

1 Dr. Moreno about current funding and full funding, being
2 something like 1.6 currently, with 8 to 10 full funding.
3 So that's reflected in the number of total staff that we
4 would expect at full funding. In our department it would
5 be 13 laboratory staff. We would request an additional
6 infusion of equipment -- one-time equipment, so that the
7 total laboratory budget for equipment purchase would be
8 about 3 million. And with that we could add -- in
9 addition to the metals and the OPs and the phthalates, we
10 could do metals with speciation we could tell you whether
11 it's organic mercury or whether it's inorganic mercury.
12 We could tell you the organic forms of arsenic, for
13 example. In addition, we would do -- for example, do
14 perchlorate. That's interesting to everybody, including
15 ourselves. PAHs we'd be very interested in, the Bisphenol
16 A, and other organics panels to be defined.

17 We would be able to develop our comprehensive
18 processing and shipping and archiving system. And so
19 sample management would not be a limitation in the full
20 funding situation, so that we could handle between 2 and
21 3,000 participants per two-year cycle.

22 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF
23 PETREAS: So, again, similarly hoping to build up up to 11
24 staff all inclusive and a total of 3 million, which means
25 another 1.8 million to get more equipment and more some

1 modeling of the lab to make more clean areas for that.

2 We hope at the time to propose to do the -- the
3 panel will include the fluorinated, PBDEs, organochlorine
4 pesticides, PCB congeners, and then the hydroxymetabolites
5 of PCBs -- hydroxymetabolites of PCBs and PBDEs, phenols
6 and other persistent organic who may be in fashion two
7 years from now. We don't know. So we're open to other
8 possibilities.

9 And, again, some chemicals are more elab -- are
10 more sophisticated and more time consuming than others.
11 That's how we say maybe with 3,000 participants from the
12 field, we can do some of the classes on those. But for
13 the more complicated ones, it will be a subset of maybe
14 2,000 every two-year cycle.

15 --o0o--

16 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF
17 FLESSEL: So in summary, the lab has made significant
18 progress in hiring, renovating lab and -- the laboratories
19 and buying equipment. The batteries are obvious. We lack
20 operating expenses and DTSC lacks staff.

21 So that in thinking about this, current resources
22 will allow testing for a very limited set of chemicals,
23 maybe four classes of chemicals between the two
24 laboratories.

25 And then to think a little bit about Mike's

1 question about, "Well, how many chemicals does that
2 represent?" Probably 20 or 30 specific chemicals. In a
3 small number of participants, perhaps 500 every cycle.
4 That's limited by the number of -- the number would be
5 limited by the sample management.

6 The full program would allow us to increase by
7 perhaps a factor of four to six, the number of chemicals
8 tested. So we'd be looking at maybe 20 classes in perhaps
9 100 to 150 specific chemicals. And the number of
10 participants per two-year cycle would jump to between 2
11 and 3,000. So that's about where they are. Understand,
12 that these are our best guesses. But those are probably
13 ballpark correct.

14 CHAIRPERSON MORENO: All right. Well, thank you
15 very much.

16 At this time, questions from the panel?

17 PANEL MEMBER QUINT: Yeah.

18 CHAIRPERSON MORENO: Ms. Quint.

19 PANEL MEMBER QUINT: I'm sure you've considered
20 this and it's been tossed around. But a lot of the cost
21 and what you're not equipped to do is all of the
22 management of samples that have to be sent to separate --
23 sent in separate directions, you know, back and forth
24 between the two of you, off to clinical labs, et cetera.

25 What is -- I don't know how the sample -- how the

1 tests are done. Is the blood or serum or urine frozen or
2 is it fresh or -- there's a lot of these tests.

3 What I'm asking, I guess, to be direct, is can
4 the division of the samples once collected from the
5 participants, can that be done in the field and then
6 somehow managed in different directions at that point and
7 not come back to the lab and then off again, which would
8 decrease -- and I don't know if there's some contracting
9 system for doing that or how that's done. But --

10 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

11 FLESSEL: The answer to that is some -- actually some of
12 the sample separation has to be done in the field.

13 PANEL MEMBER QUINT: Right.

14 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

15 FLESSEL: But the more energy intensive, work intensive
16 work has to be done in the confines of a laboratory. A
17 field clinic wouldn't be appropriate for opening and
18 closing and separating a lot of samples. We wouldn't --
19 from a quality assurance perspective you wouldn't want to
20 do that.

21 PANEL MEMBER QUINT: Right.

22 The other question I have is along the same
23 lines. Since CDC is set up to do all this testing, is
24 there a possibility of having some sort of contract with
25 them? So once we collect the samples, instead of gearing

1 up to do the law -- I mean it's better for us to have the
2 capacity to do our own testing. But one alternative to
3 that -- and I don't know if it's cheaper -- would be to
4 have us -- for us to expand that part of our program,
5 number of participants, number of things we test, and then
6 have the actual lab tests done back at CDC through some
7 sort of a contractual arrangement. Is that possible?

8 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

9 FLESSEL: No. We asked.

10 PANEL MEMBER QUINT: Okay.

11 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

12 FLESSEL: Number one, it's too expensive.

13 PANEL MEMBER QUINT: Right.

14 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

15 FLESSEL: And, number two, they don't have the capacity to
16 do it.

17 But we are, I should tell you, exploring other
18 options around at least some kind of contract testing, if
19 it turns out to be feasible economically. We'll have to
20 see.

21 PANEL MEMBER QUINT: Right.

22 PANEL MEMBER BRADMAN: I want to comment on that.

23 I think it's critical that California develop a
24 laboratory infrastructure. I mean I've been starting 10
25 or 15 years ago going to meetings at EPA and everywhere.

1 And the biggest constraint on environmental health
2 research in the United States right now is the lack of top
3 flight laboratories. And one of the recommendations that
4 have always come out of these meetings is we need regional
5 laboratories. And I think this is the potential to
6 develop those resources here in California, and that will
7 be on par with CDC.

8 And there's going to be other benefits. For
9 example, you know, local research studies, instead of
10 sending their samples out of state, can be done here. And
11 that will bring in additional resources for these
12 facilities.

13 So I mean --

14 PANEL MEMBER QUINT: Well, you don't have to
15 convince me that is important. I'm just trying to figure
16 out how we can launch this program given the bad news
17 about the capacity.

18 PANEL MEMBER BRADMAN: All right. And just to
19 underscore what Peter's saying, we're involved in a
20 similar project, and the issues are on QA/QC, and
21 processing are very complex and extremely important. And,
22 you know, anything I think we can do maybe as a panel to
23 discuss later is what we can do to ensure that they get
24 the resources they need to do it properly.

25 PANEL MEMBER WILSON: I -- oh, go ahead, Gina.

1 PANEL MEMBER SOLOMON: Oh, thanks.

2 PANEL MEMBER WILSON: Sure.

3 PANEL MEMBER SOLOMON: Just a couple things.

4 First of all, thank you for laying this out.

5 It's super helpful. And it's great to see the thought
6 that went into this and also the interagency collaboration
7 that's reflected here.

8 And I was just thinking, it was almost exactly
9 ten years ago when I toured the CDC labs, which at that
10 point were in likes trailers and Quonset huts and they had
11 buckets to catch the leaks from the ceiling. And they
12 were, you know, in horrible shape budget-wise. And
13 they've come along way and, you know, not necessarily in
14 the best political and economic times. And so I think
15 there's some hope to build there, though it may not happen
16 obviously right away.

17 Two questions. One is about the discussion
18 yesterday about looking at individual indicator chemicals.
19 Like if we decided to look at one PAH instead of a whole
20 panel, how much do you think that might -- you know, will
21 that allow us to actually do those? Or do you sort of
22 feel like, well, we still have to kind of tackle all the
23 PAHs at once or not at all? Same thing for some of the
24 POPs.

25 And also the other question is whether for each

1 of these chemicals or groups of chemicals you've sort of
2 roughly costed out how much it would -- you know, what the
3 price tag would be for each of these on our shopping list
4 if we decided to add them in, so that we could sort of ask
5 you that in the course of the meeting?

6 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

7 FLESSEL: Well, I would say -- my response to the first
8 question is -- for example, 1 hydroxypyrene for all the
9 PAH. In terms of a market for PAH, it could be a
10 reasonable market. The question then is, how much does it
11 save you? Well, it would probably -- suppose you were
12 going to do the whole suite, maybe there are 18 or 20 of
13 them. Would doing one of them cost you 1/20? No, it
14 would probably cost you half as much, because you're
15 basically going to do the same tasks, because you're not
16 going to do the QC and all the calibrations on all of the
17 other 19. So it's not a simple algebraic relationship.

18 The second question having to do with -- say
19 again.

20 PANEL MEMBER SOLOMON: Did you cost out each
21 of --

22 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

23 FLESSEL: Oh, yes.

24 Well, not in terms of dollars but in terms of
25 PYs. In other words, when we sat down we said, well, here

1 are the staff we have. And we can assign this person, for
2 example, to do a phthalates screen. This person could do
3 a -- be our metals expert. This person could do the OPs.
4 And we'd cross-train them, so on. So in that sense we
5 could cost it out. But in our minds it's about taking the
6 personnel resources and assigning them to tasks. And
7 that's how we came up with these numbers.

8 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF

9 PETREAS: In addition to this, I can share our experience
10 with costing out some of these classes, because we've done
11 it for proposals and so forth.

12 So if you do the PCBs and if you go to the first
13 question. PCB 153 is a dominant. But in order to see
14 that you have to separate from the rest.

15 So the duration of the run. I think cleanup is
16 the same. You may save by not using the label -- the
17 carbon 13 label standards for all the other PCBs, and
18 they're very expensive. But, again, they don't save you
19 too much. They save you some. You may not need to look
20 at exactly the peak of PCB 138. But you have to make sure
21 you separate it from 163. So you don't save much from
22 that.

23 As far as costing out, because we have done this
24 for PCBs and pesticides, we have a standard cost. And if
25 you add the hydroxymetabolites on to the standard cost,

1 it's cheaper than if you were doing it individually. So a
2 lot of it is nesters. So having the sample, having
3 fractionated the sample, you collect one fraction that you
4 can process later for the hydroxys, it's cheaper if you
5 already have paid for the other fraction of the PCBs.

6 So it's more complex -- it's multi-dimensional
7 how many assays do you add on to the package.

8 CHAIRPERSON MORENO: More questions?

9 Yes. Go ahead.

10 PANEL MEMBER LUDERER: I have a related question
11 regarding costing out of assays. And that would have to
12 do with the estimates that you gave us for the current
13 budget and then for the fully funded budget, whether
14 either of those would include the capability to, say,
15 develop completely new assays, you know. And I don't mean
16 for your lab -- you know, new to your lab but that have
17 been developed elsewhere. But, say, yesterday we were
18 talking about the siloxanes, which I guess there really
19 aren't accepted methodologies to assay those yet. So
20 would doing something like that be within any of those
21 budgets, or is that something that would not be possible
22 under those?

23 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF

24 PETREAS: Not with this budget. This is capability and
25 capacity of things that we know we can learn easily from

1 CDC.

2 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

3 FLESSEL: On the other hand, if you had a wish list that
4 contained a small number of these novel chemicals that
5 nobody else has looked at, we could take on some kind of
6 methods development project with a fraction of our
7 resources. We couldn't make it main stream obviously.
8 But we don't want to close that possibility out.

9 PANEL MEMBER WILSON: Just a comment and then a
10 question. We're really lucky to have both of you at the
11 helm of just this piece of the project. It's going to
12 determine whether this whole thing sort of lives or dies
13 in terms of the technical work in the laboratory.

14 And I want to -- my question is if -- this is a
15 question that I was sort of pushing Michael on. Looking
16 at current funding, if you have a thousand samples, what
17 is the population size for which that's a representative
18 sample? Do you have a sense of that? I mean if we're
19 looking at -- sort of trying to answer this question of
20 whether we're doing a statewide survey or really sort of
21 community-based sampling, knowing that we can collect a
22 thousand samples.

23 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

24 FLESSEL: Hey, Michael?

25 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

1 Could you restate the question?

2 (Laughter.)

3 PANEL MEMBER WILSON: If we have a thousand
4 samples -- so what is the population size for which a
5 thousand samples in a biomonitoring program is
6 representative?

7 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

8 You're talking about a thousand samples per
9 laboratory?

10 PANEL MEMBER WILSON: Well, no. Looking at the
11 number that Peter and Myrto gave us, a thousand
12 participants. With a thousand participants, what is the
13 population that that would be a representative sample, in
14 terms of numbers?

15 PANEL MEMBER BRADMAN: Five hundred.

16 PANEL MEMBER WILSON: Well, I thought there was
17 500 for each lab.

18 PANEL MEMBER QUINT: Five hundred a year.

19 PANEL MEMBER WILSON: Okay. I'm sorry. Good.

20 So we have 500 participants.

21 CDPH STAFF TOXICOLOGIST LEE: Over two years.

22 PANEL MEMBER WILSON: Over two years.

23 So do we have a sense of what the population size
24 is for which that is a representative sample?

25 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

1 No.

2 PANEL MEMBER WILSON: No. Okay.

3 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

4 I mean you could potentially do some
5 community-based studies with those numbers of samples.

6 PANEL MEMBER WILSON: But how big is that
7 community?

8 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

9 But if they're -- well, if we -- and it depends
10 on if you're talking about like a geographic community or
11 you're talking about a non-geographic community that, say,
12 shares a common exposure or common health condition,
13 something like that. But that would be a more appropriate
14 number of participants for doing some of these smaller
15 scale studies, which is what I was trying, I guess
16 unsuccessfully, to convey before.

17 But if you're trying to have, say, well, a
18 thousand or 500 participants that this is going to be
19 representative of the state, no, that won't work.

20 PANEL MEMBER BRADMAN: Well, I think that
21 necessary that -- at the lower level of funding we can't
22 really fulfill the wish of the legislation. And if we
23 can't fulfill that mandate, you know, I think that needs
24 to be raised.

25 PANEL MEMBER WILSON: I think, yeah, we just need

1 to recognize that up front, as you've done very well here,
2 that -- and I'm just trying to get sort of confidence
3 bounds around the population size. And I guess we don't
4 really know at this point, but it's a small population,
5 something like that.

6 OEHHA DIRECTOR DENTON: Could you do a
7 straightforward calculation. You had 2,000 for the
8 statewide samples of what, 30 million people. So if you
9 have 500 participants, then could you say it could be very
10 representative of five million people? I mean you
11 couldn't do that -- okay, so you can't do that kind of
12 a --

13 PANEL MEMBER WILSON: I wouldn't think so.

14 It's probably specific to this technology.

15 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF

16 PETREAS: I guess if we viewed this as Michael suggested,
17 focus studies on community or some -- or piggybacking and
18 collaborating on someone else's study. So that was
19 another thing we thought as a pilot. Maybe the laboratory
20 can benefit by using samples that someone else has already
21 collected.

22 PANEL MEMBER WILSON: Okay. Thank you.

23 PANEL MEMBER QUINT: I have one sort of specific
24 question.

25 Peter, you said in your panel that -- I think in

1 your -- the wish list with full funding, some volatile
2 organics. But I think the CDC is measuring those in
3 blood. And you could measure those in urine only or that
4 include blood?

5 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

6 FLESSEL: No, no. No, we would do it in the best
7 specimen. We're going to do metals in blood. If we got
8 to doing VOCs, I think we would discuss that, because
9 there is -- there are different opinions. But a lot of
10 VOCs have been done in looking at urinary metabolites.
11 But I think blood would be the place, if only because
12 CDC's doing it and they could help us.

13 PANEL MEMBER QUINT: Right, and we could compare.

14 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

15 FLESSEL: And there are good reasons to do it in blood
16 too, as you heard from John yesterday.

17 PANEL MEMBER QUINT: Right, exactly. Okay.

18 CHAIRPERSON MORENO: Dr. Denton.

19 OEHHA DIRECTOR DENTON: Just a quick question.

20 It seems like a real show stopper if you have no
21 operating expenses after July. So what about that? I
22 mean how does that happen?

23 (Laughter.)

24 OEHHA DIRECTOR DENTON: No operating expenses and
25 yet we're going to do a pilot program? I mean how does

1 that work?

2 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

3 FLESSEL: I don't know how it works.

4 (Laughter.)

5 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

6 FLESSEL: Well, basically I'm still in denial.

7 (Laughter.)

8 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

9 FLESSEL: We're all in denial. We can't imagine that that
10 will happen. But we're half way through June.

11 PANEL MEMBER QUINT: Does that mean you're not in
12 the budget in terms of operating expenses?

13 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF

14 FLESSEL: We're not in the budget as far as I know,
15 certainly not through the BCP process. Things could
16 happen in Sacramento.

17 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

18 Okay. I just wanted to explain a little bit why
19 there are no operating expenses in the budget. And this
20 is because we were instructed to submit serial sort of
21 annual budget change proposals or recommendations for what
22 the budget was. So for 2007-8, it didn't include
23 operating expenses because the assumption was that it
24 would take most of the year, first, to get obtain the
25 equipment and to get it installed. Okay. And so we

1 didn't figure that -- the laboratories didn't figure that
2 they needed to include additional operating expenses, you
3 know, assuming that in 2008-9 that those would be
4 available.

5 PANEL MEMBER QUINT: But you don't have
6 guaranteed funding?

7 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:
8 Right.

9 PANEL MEMBER QUINT: But there's no funding?

10 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:
11 But that's why they're not -- they weren't
12 included in the base budget, because we wanted to, you
13 know, save money for 2007-8 and not include them, because
14 we didn't think we would use them in '07-'08.

15 PANEL MEMBER QUINT: Right.

16 CHAIRPERSON MORENO: I have a question.

17 Is there any discretion within your department to
18 move revenues for operating costs at the expense of
19 another program? Is that an option?

20 Not something that staff wants to hear. But
21 looking just for options, trying to --

22 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF
23 FLESSEL: Right. We'd have to look up for that, because
24 there's nothing that we could do in the laboratory. We're
25 already in the red in the rest of the lab. So we couldn't

1 take over here and move it over there in the lab.

2 CHAIRPERSON MORENO: All right. Any other
3 questions?

4 If not, I want to thank our presenters. Thank
5 you very much for the enlightening presentation.

6 And we're going to go ahead and break now at
7 10:15. And we're going to resume at 10:30.

8 (Thereupon a recess was taken.)

9 CHAIRPERSON MORENO: Welcome back. I'm going to
10 resume the meeting.

11 I'd like to take the opportunity to ask Michael
12 Lipsett to provide a little bit of clarification on one of
13 the last questions asked before the break.

14 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

15 Yeah, thank you, Dr. Moreno.

16 This is with respect to the question that Mike
17 Wilson had asked about the representativeness of what
18 population with, say, a sample of 500. And I heard a
19 comment from the audience as well that there was a lack of
20 clarity with respect to whether we had actually done some
21 additional calculations about whether with the current
22 budget we could only sample 500 people or if that was
23 based on the laboratory calculations alone. And it would
24 be the latter. We haven't done any estimates about the
25 sample size that we could get with the current budget.

1 But the other issue too is whether -- you were
2 asking whether that could be representative of the state
3 of, say, 500 people or -- and the answer to that for
4 practical purposes is still no. But theoretically the
5 answer is yes. You could have an estimate of -- you can
6 develop statewide estimates with 500 or 200 or even 50
7 people. But the error bars around those estimates would
8 be so immense that it would be -- for practical purposes
9 it would be meaningless. And, in addition, you would have
10 to be lumping together all the different kinds of age
11 groups and race and ethnicity groups.

12 So for the purposes of trying to fulfill the
13 legislation, it would not really be a very good
14 representative sample. But theoretically the answer is
15 yes. Practically, no.

16 PANEL MEMBER WILSON: Right. I guess the place
17 where I get hung up is that the sample size is -- you
18 know, the extent of power of the sample to represent the
19 population is going to depend on the ability of the test
20 to detect -- you know, if it's epidemiology, to detect the
21 disease. And this is where I'm not clear in the science
22 of biomonitoring how representative samples are developed.
23 And so I guess it's something we have to work our way
24 through. But what I'm struggling with is that's going to
25 determine the framework for the direction that we go on

1 chemical selection. I mean it may be that we can really
2 only do one or two counties if we can only do 500
3 subjects. Maybe we should focus on two counties, and that
4 might determine the selection of chemicals.

5 But thank you for your comment.

6 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

7 Okay. Or we could think about other
8 non-geographic communities such as a population of workers
9 who are highly exposed or pregnant women or cord bloods or
10 something like that.

11 PANEL MEMBER WILSON: Exactly.

12 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

13 Okay. Thank you.

14 CHAIRPERSON MORENO: All right. Thank you.

15 I also want to remind individuals in the audience
16 that if you want to provide comments, which will be coming
17 up shortly, please find the purple cards -- there's some
18 up here at the table -- and fill them out and give them
19 back to our staff. There's Robbie over there on your
20 right.

21 All right. Let's see. At this time, we're going
22 to have presentations on -- or, I'm sorry -- the next item
23 on the agenda is: Possible Chemicals for Biomonitoring in
24 California; in particular, Framework for Chemical
25 Selection.

1 And I'd like to introduce Dr. Lauren Zeise.

2 Lauren's with the Office of Environmental Health Hazard
3 Assessment. And she is the lead for OEHHA on the
4 California Biomonitoring Program.

5 And Lauren is going to give some additional
6 information to this Panel for consideration. And Lauren
7 is going to introduce additional staff for this
8 presentation.

9 So thank you.

10 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

11 BRANCH CHIEF ZEISE: Thank you.

12 (Thereupon an overhead presentation was
13 Presented as follows.)

14 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

15 BRANCH CHIEF ZEISE: So I guess we've just heard a
16 budgetary framework for chemical selection. And I'm going
17 to focus on the legislative aspects of it, and to set up
18 the talks of the following presenters, who are going to
19 reflect a lot of work that we've done to follow up on some
20 of the suggestions from the Panel on this issue.

21 --o0o--

22 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

23 BRANCH CHIEF ZEISE: So, just to remind us of the
24 selection process and legislation. There's a pool of
25 chemicals called designated chemicals. And the

1 legislation establishes the CDC set as designated
2 chemicals. But also additions can follow according to
3 designated chemical criteria. And then from that
4 designated chemicals pool, we have selections that follow
5 criteria for priority chemicals for California. And then
6 depending on feasibility and resources, we have the
7 biomonitored chemicals.

8 And so these stars indicate where the panel comes
9 in to make recommendations regarding designated chemicals
10 and priority chemicals. And then also the Panel can
11 choose, can agree to additional criteria for the priority
12 chemicals.

13 --o0o--

14 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
15 BRANCH CHIEF ZEISE: Now, in the legislation, the language
16 around designated chemicals, in addition to some public
17 health language, it says that it consists of only those
18 substances including chemical families or metabolites that
19 are included in the CDC list as well as any other
20 substances, which that part of the language addresses
21 those additions.

22 So I think it's useful to think in terms of,
23 since we're getting these huge number of suggestions, to
24 think in terms of chemical families and metabolites. And
25 you've heard from the laboratory that that's also a

1 convenient way to think about how to design the sampling
2 strategies.

3 --o0o--

4 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

5 BRANCH CHIEF ZEISE: Now, at the last meeting there was a
6 good deal of discussion about how do we get at what
7 chemicals should we biomonitor for. And we talked about
8 two tracks.

9 The first track was to ask and get opinions about
10 what the important exposures are in California from the
11 public, from industry, from state government.

12 And the second track was to really think pretty
13 broadly about what we're exposed to, and to find lists and
14 begin to methodically work through those lists to identify
15 bad actors.

16 And we had a lot of discussion about that at the
17 workshop yesterday as well in terms of this broader
18 universe.

19 --o0o--

20 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

21 BRANCH CHIEF ZEISE: So action items coming out of the
22 meeting -- the Panel's December meeting was one on Track 1
23 activities to get input on which chemicals to biomonitor:

24 We held a public workshop yesterday with major
25 biomonitoring programs - the CDC's, the German, and the

1 Canadian programs.

2 We were asked to hold some public workshops,
3 which we did. We held three workshops and three
4 teleconferences, as you heard, in Fresno, Oakland, and Los
5 Angeles.

6 And then also to ask other state programs. And
7 we've had inquiries to programs; interviews with program
8 staff, and you'll be hearing about that.

9 And then we also conducted a survey to ask
10 industry and the public about what they thought should be
11 biomonitored. And also as part of the workshops and
12 teleconferences we did get a lot of input from various
13 sectors.

14 --o0o--

15 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

16 BRANCH CHIEF ZEISE: Now, with respect to Track 2
17 activities. I think we're seeing that this is a very
18 difficult, large task; and that I think, given the
19 resources, we really do need some further discussion on
20 what does it make sense to do.

21 --o0o--

22 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

23 BRANCH CHIEF ZEISE: We were -- just go back. To remind
24 myself coming out of the meeting last time, we had
25 suggestions to ask the counties about their CUPA list.

1 But those lists aren't organized in any good way, and
2 they're not electronic, many of them, and they're focused
3 on emergency releases.

4 TRI chemicals, a long list. A bit of the same
5 thing we're getting in terms of keys under the lampposts
6 with some of these existing lists.

7 We have the CDC list; the DPR lists of chemicals
8 through their use reports, which you have a copy in there;
9 and the Prop 65 lists.

10 --o0o--

11 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
12 BRANCH CHIEF ZEISE: So we have started working through --
13 Sharon Lee at DPH has started working through the CDC list
14 and categorizing the chemicals and looking up health
15 values and so forth and indicating the endpoints. And
16 this could potentially be expanded to other lists or lists
17 of suggested chemicals coming out of our workshops, the
18 Panel meetings, and so forth. And as we discussed at the
19 last Panel meeting, we could add categories of persistence
20 and bioavailability and other categories. But this is --
21 to really do this in a comprehensive way, it would be very
22 difficult to do with current resources. And it's unclear
23 how this expanded table would be used. So really further
24 Panel discussion on this would be helpful.

25

1 --o0o--

2 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

3 BRANCH CHIEF ZEISE: So to return back to the chemical
4 selection presentations, we're going to focus on the
5 inquires from the public and from state staff through our
6 workshops.

7 So we're going to have a presentation by Diana
8 Lee at the California Department of Public Health, to my
9 left, who's going to tell us about the workshops,
10 teleconferences, Email and survey input on specific
11 chemicals and chemical families that have been suggested.

12 And then Gail Krowech, next to Diana, from OEHHA,
13 is going to update us and inform us about the input she
14 got during interviews and other inquiries with state
15 staff.

16 And then this afternoon, we'll get into criteria
17 and ways of selecting that we heard about from the public
18 and state staff.

19 --o0o--

20 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

21 BRANCH CHIEF ZEISE: So just a couple caveats. One of the
22 things that the state programs and public where the focus
23 was served: "What do you think should be biomonitored?"
24 And we didn't really make clear distinctions between
25 designated and priority pools -- chemical pools. That was

1 a little bit hard for people to take in, so we just wanted
2 to focus on, you know, asking them clearly, "Well what do
3 you think makes sense to biomonitor? What would you like
4 to see biomonitored?"

5 And the next thing is I think, as you've heard
6 from the resource discussion -- as you see with the
7 resource discussion and from the presentations yesterday,
8 we're talking about a phased program. And what we
9 envision is coming back many times to the Committee and to
10 the Panel to talk about chemical selection, that we expect
11 this to be a recurring item on the agenda at Panel
12 meetings.

13 So now I'll turn to Diana to make her
14 presentation.

15 Diana Lee, Department of Public Health.

16 (Thereupon an overhead presentation was
17 Presented as follows.)

18 CDPH RESEARCH SCIENTIST LEE: So I'll be
19 basically providing an overview of what we've done with
20 respect to public participation activities, and
21 specifically the chemicals that could be included in the
22 designated list.

23 --o0o--

24 CDPH RESEARCH SCIENTIST LEE: So the activities
25 that we've conducted, as Lauren has indicated, is in

1 response to the information we received at the December
2 meeting. And we did do in-person workshops,
3 teleconferences; we accepted public comment via Email and
4 FAX; and we conducted a web-based survey. And all of this
5 is in keeping certainly with the legislative mandate that
6 we have to secure public input and participation in
7 program design and implementation.

8 So the information we've gathered hopefully will
9 help inform the decision-making processes of both the
10 program staff as well as the Panel members.

11 --o0o--

12 CDPH RESEARCH SCIENTIST LEE: Our objectives for
13 these sessions included:

14 To receive input from the stakeholders on the
15 selection of chemicals to be included in the California
16 Biomonitoring Program; and

17 To disseminate general information about the
18 program; and ultimately

19 To increase the understanding of biomonitoring by
20 the public, thereby hopefully enabling them to become
21 better involved in the program design and implementation.
22 And, again, this is in keeping with the legislative
23 mandate to provide opportunities for public participation
24 and community capacity building.

25 --o0o--

1 CDPH RESEARCH SCIENTIST LEE: So the content and
2 the format of these workshops and teleconferences are
3 actually provided in Tab 2. You have an agenda for the
4 different workshops. But basically we provided a program
5 overview and reviewed the legislation, program
6 organization and framework, and a little bit about
7 provisional timelines and milestones that the program
8 hopes to accomplish.

9 We had a component -- a presentation by the lab
10 staff in the workshops, and unfortunately we did not have
11 that in the teleconferences because we had abbreviated
12 time for the teleconferences. But the lab staff, Dr.
13 Flessel and other lab staff, covered building laboratory
14 capacity, for instance, in steps in developing chemical
15 testing methods. We also, again, went over the process in
16 the legislation for chemical selection, describing
17 different classes of categories of chemicals possibly and
18 again discussing how selection criteria could be used. A
19 large purpose of the -- or a large part of the discussion
20 of chemicals is really to orient the participants and
21 others to taking the survey, the on-line survey, which
22 I'll discuss in a few minutes.

23 --o0o--

24 CDPH RESEARCH SCIENTIST LEE: So a little bit
25 more detail about these workshops and teleconferences.

1 We've had -- the workshops were roughly four hours in
2 length in three places in the state. And then the one
3 here in Oakland was the best attended. And Dr. Quint and
4 Dr. Solomon actually attended the Oakland one, and Dr.
5 Moreno was able to attend the Fresno one.

6 We also had teleconferences. And this was
7 certainly to enable people who could not travel to the
8 workshops to participate. And you can see that we had 32
9 lines. More than one person was usually on these lines.
10 So we don't really know for sure how many participants
11 actually participated in the teleconferences.

12 --o0o--

13 CDPH RESEARCH SCIENTIST LEE: From the
14 teleconferences and workshops we had extensive note
15 takers, who recorded mention of any chemicals, et cetera,
16 that came up during discussion and were individually
17 provided. So, as you can see here from the workshops and
18 teleconferences, pesticides and metals came up most
19 frequently. And the types of pesticides that came up were
20 those included often in spraying of different communities.
21 And certainly with light brown apple moth high in the
22 news, for instances, and West Nile Virus and so on, we've
23 received several comments related to pesticides used in
24 those kinds of sprayings.

25 Also pesticides in relationship to different

1 kinds of health effects such as for children came up.

2 Metals came up often, certainly the heavy metals,
3 lead and mercury. But also hexavalent chromium was
4 mentioned several times. Again, metals often in
5 relationship to the effect on children's health.

6 --o0o--

7 CDPH RESEARCH SCIENTIST LEE: Several comments --
8 actually several comments of concern came about related to
9 site-specific contaminants, and some in southern
10 California as well as northern California. And, again,
11 the chemicals were metals, radioisotopes, persistent
12 organic compounds such as dioxins and pentachlorophenol.

13 --o0o--

14 CDPH RESEARCH SCIENTIST LEE: When the lab
15 session came up in the workshops, we received quite a few
16 comments about detection methods, for instance, for
17 specific kinds of chemicals. And certainly different
18 kinds of biomatrices and kinds of chemicals that could be
19 monitored in, say, cord blood, for instance, or breast
20 milk or saliva or in hair and nails were also raised.

21 --o0o--

22 CDPH RESEARCH SCIENTIST LEE: Overall we felt we
23 accomplished our workshop objectives. And as you can see
24 here, the bars -- the dark purple bars indicate strongly
25 agree by the participants and the pink bars indicate

1 agreement overall. And for the most part, workshop
2 participants found the content interesting, relevant
3 clear, where they were able to make suggestions. And it
4 certainly helped them understand the purpose of the
5 program and overall biomonitoring in general.

6 --o0o--

7 CDPH RESEARCH SCIENTIST LEE: We also received
8 comments about chemicals or products via Email. I believe
9 we received 12 submissions. And these are actually
10 provided in full in your briefing binders under Tab 3. So
11 this is a listing of the chemicals or product suggested
12 that came out from these comments and submissions via
13 Email or even hard mail.

14 --o0o--

15 CDPH RESEARCH SCIENTIST LEE: So thirdly, the
16 web-based survey. This was done through SurveyMonkey.
17 And it was on line for roughly a month, during April and
18 the early part of May. People wanting a hard copy could
19 request that and was so provided. We also had hard copies
20 available for participants at the workshops even. It was
21 available in both English and Spanish. And there are
22 mainly two parts that related specifically to chemical
23 selection: One on criteria the program should use to
24 select priority chemicals; and then categories of
25 chemicals where they were asked to indicate their

1 importance.

2 And both open-ended responses as well as multiple
3 choice responses were categorized.

4 --o0o--

5 CDPH RESEARCH SCIENTIST LEE: So just kind of to
6 put the perspective of who took this survey. Obviously
7 this was not a random -- a representative population of
8 respondents. And it was totaling by convenience. And we
9 really truly thank every single individual who took the
10 time to fill out the survey and indicate their
11 preferences.

12 As you can see here, roughly a third of the
13 respondents were from government, roughly 25 percent from
14 nonprofit or community-based organizations, and a little
15 less than a fourth just represented themselves as
16 individuals.

17 --o0o--

18 CDPH RESEARCH SCIENTIST LEE: There was a
19 three-step process to filling out the survey. And we
20 listed chemical categories rather than specific chemicals
21 by name. We felt that this would be more familiar to
22 people who chose to take the survey rather than listing
23 specific chemical names.

24 For each category of chemicals, we asked which
25 chemicals should the biomonitoring program measure in

1 Californians. And they were asked to rate them by
2 importance. And then step 2, if they so chose to do so,
3 they could list or describe specific chemicals for those
4 that they indicated as being important or somewhat
5 important. And step 3, they were -- respondents were
6 asked to indicate their foremost important categories.

7 --o0o--

8 CDPH RESEARCH SCIENTIST LEE: So an example might
9 be, for instance, more pesticides used in or around the
10 homes or schools, for example, to control flees, ticks,
11 weeds or insects in the home or yard. So kind of a
12 general description. Or chemicals found in personal care
13 products such as, for example, cosmetics, nail polish, or
14 shampoo, was how we worded the chemical categories.

15 --o0o--

16 CDPH RESEARCH SCIENTIST LEE: So for those
17 chemical groups rated as important or somewhat important,
18 you can see that metals came up pretty important, as well
19 as farm or agricultural pesticides and so forth down the
20 line.

21 I believe chemicals from burning coal or
22 gasoline, chemicals from industry or hazardous waste, they
23 were rated not quite as important as chemicals in drinking
24 water and chemicals in food. Obviously there's overlap in
25 some of these categories.

1 --o0o--

2 CDPH RESEARCH SCIENTIST LEE: With the open-ended
3 response category, if they rated a chemical category
4 important or somewhat important, they were invited to list
5 or describe below in a box any specific chemical, for
6 instance, in personal care products that the program
7 should measure. Overall for all 14 categories, we
8 received over 300 different chemicals or chemical types
9 that were specifically named. And there were frequent
10 repeats such as over 50 mentions of lead, mercury,
11 phthalates and Bisphenol A.

12 --o0o--

13 CDPH RESEARCH SCIENTIST LEE: For the most
14 frequently mentioned chemicals, those in red listed on
15 this slide here, are already included in the 2003-2004 CDC
16 list, which is also included in your briefing binders. So
17 the ones listed in blue were currently not included in
18 that list but could be on future lists, for instance, that
19 CDC is considering.

20 --o0o--

21 CDPH RESEARCH SCIENTIST LEE: And for chemical
22 classes, again the ones in red are currently on the 2003-4
23 list.

24 --o0o--

25 CDPH RESEARCH SCIENTIST LEE: And, again, general

1 types of chemicals, these were the ones that were listed
2 most frequently.

3 We are still summarizing much of this
4 information. We hope to be able to have this to you in a
5 formal report soon.

6 --o0o--

7 CDPH RESEARCH SCIENTIST LEE: Okay. So the
8 survey asked the respondents to "please tell us your
9 foremost important categories." And this is exactly how
10 it was listed in the survey, with number 1 being listed as
11 the most important and number 4 being of less importance.

12 --o0o--

13 CDPH RESEARCH SCIENTIST LEE: So rated in terms
14 of all respondents listing number 1 -- their chemical
15 categories under number 1, or most important categories,
16 metals came up and chemicals in drinking water, chemicals
17 in food, farm pesticides, et cetera.

18 Again, these are only looking at all chemical
19 categories listed as number 1, most important category of
20 importance.

21 --o0o--

22 CDPH RESEARCH SCIENTIST LEE: If we take top 4,
23 or the four most important categories cumulatively, it
24 shows a somewhat different profile of chemicals in
25 drinking water, chemicals in food being listed,

1 agricultural pesticides, and then followed by metals,
2 chemicals in plastic, et cetera.

3 --o0o--

4 CDPH RESEARCH SCIENTIST LEE: In summary, we feel
5 that the public participation activities yielded very
6 valuable information and contacts for the program, and we
7 will definitely be continuing the dialogue with members of
8 the public as we pursue other areas of program design and
9 implementation.

10 I also want to comment that because the public
11 workshops or teleconferences provided really the first
12 opportunity for the program staff to convey information
13 about the program, we received lots of very relevant
14 comments also with other -- with relationship to other
15 aspects of the program, such as sampling, for instance, or
16 field operations. And they will be summarized as well.
17 We chose to just restrict our reporting at this time to
18 chemical selection. But many of those summaries will be
19 again discussed at future meetings.

20 Common themes with respect to suggestions for
21 chemicals again included metals, pesticides, chemicals
22 that affect children, pharmaceuticals, hormonally active
23 agents, and certainly chemicals that are persistent or
24 bio-cumulative.

25 CHAIRPERSON MORENO: Thank you very much, Diana.

1 I also want to thank Lauren for her introduction
2 to this part of the meeting today.

3 And at this time we're going to take a few
4 minutes to invite the Panel members to ask some questions
5 to clarify any of the information that's been provided to
6 this point before we move on to the next presenter.

7 Yes.

8 PANEL MEMBER WILSON: Sure. Thank you, Diana.
9 That was really interesting and informative. And it
10 seemed to be focused primarily on the sources of exposure
11 and substances of concern. But you did mention that there
12 was some questions related to adult versus children or
13 infants as the receivers of exposure.

14 Could you elaborate on that a little bit.

15 CDPH RESEARCH SCIENTIST LEE: Sure. Those kind
16 of issues actually came up as we talked about criteria for
17 selecting priority chemicals. And that will be further
18 expanded upon this afternoon by Amy Dunn. So if you can
19 hold on for that part of the summary those results.

20 PANEL MEMBER WILSON: Okay. Thank you.

21 PANEL MEMBER QUINT: Along those same lines, were
22 there any questions, or did you query people about their
23 health -- you know, chemicals as related to health
24 concerns? Like were people concerned about cancer or
25 developmental damage or reproductive effects. Or are they

1 just unknown effects of chemicals were basically their
2 concern?

3 CDPH RESEARCH SCIENTIST LEE: We didn't ask a
4 particular question about health effects. They could
5 certainly raise it in kind of an open-ended kind of
6 response. And when those things were raised, we took note
7 of that. And certainly they came up in discussion, as we
8 know again, like children and the effects of certain
9 pesticides on neurodevelopment, for instance, came up
10 several times. And certainly on pregnancy and
11 reproductive development.

12 PANEL MEMBER QUINT: Let me sneak in another
13 question here too.

14 I notice that you had one response in -- you said
15 Spanish, 1.

16 CDPH RESEARCH SCIENTIST LEE: Right.

17 PANEL MEMBER QUINT: So did that indicate that
18 only one person --

19 CDPH RESEARCH SCIENTIST LEE: -- one person chose
20 to take the survey in Spanish.

21 PANEL MEMBER QUINT: Okay. Because when Michael
22 presented statistics of what's different about California,
23 the foreign born, 40 percent, really stand out. So I'm
24 wondering what, if anything, we can do to tap in to people
25 for whom not only our chemical names, you know, are kind

1 of foreign to everybody, but to sort of, you know, find
2 out what's on the minds of people for whom English is not
3 as comfortable a first language.

4 CDPH RESEARCH SCIENTIST LEE: We definitely want
5 to do that. And for the workshops and teleconferences we
6 actually indicated that translation in Spanish could be
7 made available, but we had to have prior notification to
8 make arrangements. And in Fresno we were able to do that.

9 PANEL MEMBER QUINT: Right.

10 CDPH RESEARCH SCIENTIST LEE: But, again --

11 PANEL MEMBER QUINT: And, plus, there's a lot of
12 Asian languages --

13 CDPH RESEARCH SCIENTIST LEE: Oh, yeah.

14 PANEL MEMBER QUINT: You know, we just -- because
15 we're different and we want to just kind of exploit those
16 differences where we can through this process.

17 CDPH RESEARCH SCIENTIST LEE: Right. Again,
18 these were some of the resources that we've been
19 continually highlighting the need for.

20 PANEL MEMBER QUINT: I know, I know.

21 CDPH RESEARCH SCIENTIST LEE: And we definitely
22 recognize the value of doing that.

23 PANEL MEMBER QUINT: Exactly.

24 CHAIRPERSON MORENO: Others questions?

25 Yes.

1 PANEL MEMBER SOLOMON: The radionuclides were
2 rather a curve ball, and certainly would be from a
3 laboratory perspective. And so I was wondering if you
4 could elaborate a little bit on what the concerns seem to
5 be around those substances, which ones in particular? Is
6 this a community-specific issue or what?

7 CDPH RESEARCH SCIENTIST LEE: They came up mostly
8 in respect to certain specific sites of concern for
9 certain individuals, like Rocketdyne, for instance, or
10 some other sites that are currently under investigation
11 and cleanup. And it was kind of a laundry listing of
12 chemicals or contaminants found at particular sites that
13 have been submitted to the program for consideration.

14 I think specifically ones included -- Robbie,
15 help me here since -- oh, Strontium 90 came up. Cesium I
16 think was another one. Uranium.

17 Any other particular ones?

18 Radon came up. Radon came up a little, yeah.

19 Another one I think was highlighted as under
20 "other" that I thought was really interesting was
21 nanoparticles.

22 PANEL MEMBER QUINT: Was what?

23 CDPH RESEARCH SCIENTIST LEE: Nanoparticles.

24 Again, we didn't limit it to existing laboratory
25 capacity. Although I felt very much though that the

1 presentation on laboratory capacity and so on in terms of
2 methods development was very helpful to participants. And
3 the comments that we received during that session were --
4 led people to ask very interesting questions about
5 laboratory methods and kinds of things that could be done
6 potentially. So stay tuned.

7 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
8 BRANCH CHIEF ZEISE: If I could add. In the survey, just
9 getting back to Julia's question with respect to
10 particular endpoints. Asthmagens, mutogens, carcinogens
11 endocrine disruptors, developmental and reproductive
12 toxicants all came up multiple times.

13 CHAIRPERSON MORENO: Any more questions?

14 Okay. Lauren, you have another presentation?

15 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
16 BRANCH CHIEF ZEISE: Yeah. So now Gail Krowech from OEHHA
17 will make a presentation on what we heard and what she
18 heard through interviews with state staff about what
19 chemicals they think are important for this program to
20 address.

21 (Thereupon an overhead presentation was
22 Presented as follows.)

23 OEHHA STAFF TOXICOLOGIST KROWECH: Well, this was
24 not a formal survey in any sense. And because of
25 shortness of time, we decided to have informal interviews

1 and identified individual staff based on knowledge and
2 experience in their fields who we could ask for input on
3 chemical selection.

4 --o0o--

5 OEHHA STAFF TOXICOLOGIST KROWECH: This is a list
6 of the programs that were originally contacted. For the
7 boards and departments I contacted several programs in
8 each one. And often one contact in each program led to
9 another. I also contacted through the Water Resources
10 Control Board's recommendation two of their contract
11 research institutes.

12 --o0o--

13 OEHHA STAFF TOXICOLOGIST KROWECH: Contacted
14 staff were asked if they'd be willing to receive a
15 document by Email that explained chemical selection and --
16 the process of chemical selection, and asked for input on
17 eight issues. And we also sent a list of chemicals that
18 were biomonitoring by the CDC.

19 This is the list -- approximate list of the
20 questions. We asked what was of most concern to the
21 program, what chemicals their program saw as an emerging
22 concern, what chemicals addressed by their program did
23 they think had higher exposures in California, were there
24 chemicals that they felt important to biomonitor to assess
25 program effectiveness, what chemicals as a public health

1 scientist did they think it was important to biomonitor.
2 And we asked for information on exposure -- if they had
3 any exposure data and any information on chemical markers.

4 We also asked questions about criteria for
5 selecting chemicals to biomonitor. Amy's going to talk
6 about question 8 this afternoon. And I'm going to talk
7 about the three highlighted questions. I'm putting them
8 together. In some cases I'll explain more what one
9 program saw as an emerging concern. But many chemicals of
10 concern were emerging concerns to one program and not to
11 another. So it made sense to just put them all together.

12 --o0o--

13 OEHHA STAFF TOXICOLOGIST KROWECH: Okay. The
14 input from state staff. Most, but not all, contacted
15 staff or colleagues from their program participated.

16 Input was primarily from telephone interviews.
17 In some cases, contacted staff sought input from others in
18 their group. And so what I -- what was reported to me was
19 result of a group discussion or their pooled responses.

20 Some interviews were with two or three staff
21 members where the initially contacted staff brought in one
22 or two colleagues to talk.

23 I also received responses in writing. Some staff
24 who I interviewed by telephone also sent in written
25 comments. And some passed along the questions. And so I

1 might -- I received sometimes just a list of chemicals of
2 concern, or one or two chemicals that they thought were
3 important or in some cases a chemical marker.

4 --o0o--

5 OEHHA STAFF TOXICOLOGIST KROWECH: So some
6 general comments on the input.

7 Staff answered the questions in several different
8 ways: Some in terms of CDC categories, some in general
9 categories, some as classes of chemicals, and some as
10 individual chemicals.

11 The chemicals groupings that are presented here
12 reflect this input. And so there are many overlapping
13 categories. Some chemicals could have been in more than
14 one category. But in the interests of time and space,
15 they're just in one space -- one place right now.

16 I've highlighted chemicals -- or categories that
17 were mentioned by multiple programs but also tried to
18 include suggestions from individual programs.

19 The final report of this will be comprehensive.
20 But because of time factors, it's edited. Not every
21 chemical that was mentioned is presented here.

22 And also in presenting the chemicals that were
23 reported to me, the technical feasibility of biomonitoring
24 is not taken into account.

25 --o0o--

1 OEHHA STAFF TOXICOLOGIST KROWECH: This is the
2 list of the frequently mentioned CDC categories. And they
3 were frequently mentioned. Phthalates, polybrominated
4 diphenyl ethers, perfluorinated compounds, pesticides,
5 metals, and polycyclic aromatic hydrocarbons were all
6 mentioned by a wide range of programs. And I'll be coming
7 back to most of these categories with the detailed input
8 that was received.

9 --o0o--

10 OEHHA STAFF TOXICOLOGIST KROWECH: There were
11 other frequently mentioned categories: Endocrine
12 disrupting chemicals, air contaminants, solvents, and
13 nanoparticles.

14 Nanoparticles was again an area of great concern.
15 It was mentioned repeatedly. And nanoparticles in
16 personal care products were mentioned often as well.

17 --o0o--

18 OEHHA STAFF TOXICOLOGIST KROWECH: A few specific
19 chemicals were emphasized by several departments. So I
20 wanted to put them out here. The diamond indicates that
21 it's a chemical -- a class of chemicals on the 2003-2004
22 CDC list.

23 Bisphenol A and perchlorate were both mentioned.
24 It's important to biomonitor by staff from a wide number
25 of programs.

1 Triclosan and triclocarban were both singled out
2 by respondents who didn't necessarily offer many chemicals
3 that they thought were important, but they offered these
4 as well as other staff who included these. And basically
5 they wanted me to know that they were important products
6 in hand soaps, carpets, and clothing.

7 And also as part of the comments that I received,
8 there was a summary of a report on triclocarban that
9 showed that it is not removed in wastewater treatment. So
10 it's accumulating in municipal sludge.

11 --o0o--

12 OEHHA STAFF TOXICOLOGIST KROWECH: Okay. So I'm
13 going back to the classes that I previously mentioned now.

14 These are the phthalates that were recommended to
15 us. The ones that are prominent in indoor air were
16 primarily prominent in car air. Of the other phthalates,
17 DINP and DIDP are replacements for -- they're substitutes
18 for DEHP. And their use in production has been increasing
19 over the last few years.

20 Several staff expressed great concern about the
21 non-phthalate plasticizers and the phthalate replacements.
22 So I've just included two examples of non-phthalate
23 plasticizers, the class of adipates. And that's DEHA.
24 And it is used in the PVC film. It's a food -- you know,
25 food contact film.

1 And DINCH, which is a new phthalate replacement,
2 just approved by the EU. And apparently it's -- you know,
3 it's purported to be very safe. But there aren't any
4 publications in the open literature on it. So some staff
5 were concerned about that.

6 --o0o--

7 OEHHA STAFF TOXICOLOGIST KROWECH: Now, PBDEs
8 were mentioned just about by every staff member providing
9 input. And this was the list that we received of PBDEs
10 found in indoor air and dust

11 --o0o--

12 OEHHA STAFF TOXICOLOGIST KROWECH: There was also
13 a lot of concern about other flame retardants and PBDE
14 replacements. As most people here know, penta and octaBDE
15 were banned. And so there are new flame retardants taking
16 their places.

17 Among the ones that were suggested for
18 biomonitoring are tetrabromobisphenol A and
19 hexabromocyclododecane, which are high use brominated
20 flame retardants.

21 Bis(2-ethylhexyl)tetrabromophthalate is the
22 primary replacement for pentaBDE in polyurethane foam, and
23 does not appear to have any toxicity information available
24 on it.

25 Chlorinated flame retardants were also mentioned

1 of concern. And I'll just mention that the bottom one on
2 this list, which has been called chlorinated tris, it is
3 also a primary replacement for pentaBDE in polyurethane
4 foam, and it's been shown to cause cancer in animals.

5 --o0o--

6 OEHHA STAFF TOXICOLOGIST KROWECH: Metals were
7 again prominent on most people's lists. And most of the
8 chemicals of greatest concern are being monitored on this
9 CDC 2003-2004 list. Those that weren't were manganese and
10 chromium VI. A lot of people were concerned about
11 chromium VI. And I guess with that there's the question
12 of difficulty in trying to biomonitor it.

13 Other suggested chemicals, some of them are
14 included here. And I just want to point out two things.
15 One is that when I do finish the report, I'll include the
16 reasons that people nominated them. And some people
17 submitted, you know, small, you know, basically reasons
18 why they felt it was so important. So I want to include
19 that.

20 Vanadium was suggested by the CDPH Drinking Water
21 Division and also ARB. And I thought this was
22 interesting, that ARB basically told me that vanadium
23 pentoxide, which is a Proposition 65 carcinogen, is now
24 being used as a catalyst for diesel admission control
25 technology. So there might be more exposure through that.

1 --o0o--

2 OEHHA STAFF TOXICOLOGIST KROWECH: Pesticides
3 were very, you know, of concern to a wide range of
4 programs. Of the organochlorine pesticides, all of the
5 legacy pesticides on the first line were nominated by
6 various staff members.

7 The DDTs I was told are one of the four prominent
8 contaminants in fish in southern California. So still
9 very important.

10 The current use organochlorine pesticides that
11 were nominated -- or suggested are endosulfan and dicofol.
12 Both were shown to have an association with autism in a
13 recent study.

14 There were many concerns about organophosphate
15 pesticides and pyrethroid pesticides. Pyrethroid
16 pesticides are coming into greater use now because the
17 organophosphates are no longer available for home use or
18 yard use, and so there are many more pyrethroid
19 pesticides.

20 Fumigant pesticides were the greatest concern of
21 DPR. And I was told that California is one of the two
22 states with the highest use of fumigant pesticides. The
23 other one's Florida.

24 The first one on -- the first pesticide on this
25 list, DBCP, is banned. It's been banned since 1979. And

1 it was mentioned as a water concern.

2 The other ones on the second line here are
3 current use pesticides.

4 --o0o--

5 OEHHA STAFF TOXICOLOGIST KROWECH: And here are
6 some more pesticides, fungicides, herbicides, other
7 pesticides that were suggested for biomonitoring.

8 Two pesticides were mentioned as possible
9 emerging concerns, the fiprols and neonicitinoids. The
10 fiproles -- let's see. Fipronil, which is in the flea and
11 tick control in I guess FrontLine is of that class. And
12 neonicitinoids are used -- or gaining use as household
13 pesticides.

14 --o0o--

15 OEHHA STAFF TOXICOLOGIST KROWECH: Okay. Many
16 staff from multiple departments named endocrine disruptors
17 as chemicals that would be important to biomonitor,
18 without naming specific chemicals.

19 So just to sort of pull everything that I
20 mentioned before together, below is a list of chemicals
21 that I've already mentioned that are endocrine disruptors.

22 And this is a list of some of the other endocrine
23 disruptors that were named by staff, not necessarily named
24 as endocrine disruptors, but in general they were named.
25 And I was told that they were endocrine disruptors.

1 PCBs were suggested by several staff. And one
2 staff member basically told me in terms of fish, it's one
3 of the two most important contaminants in the state.

4 Parabens, widely used as preservatives in
5 cosmetics and toiletries and pharmaceuticals, were
6 mentioned.

7 Artificial musks in fragrances.

8 Methyl siloxanes were mentioned by several staff.
9 I think it was mentioned yesterday that they were used in
10 plastics. They're also used heavily in consumer products.
11 And D5 is either being used or considered for use as a
12 replacement for perc in dry-cleaning.

13 Sunscreen.

14 Nonylphenols and nonylphenol ethoxylates, which
15 are surfactants, were mentioned.

16 And phytoestrogens.

17 --o0o--

18 OEHHA STAFF TOXICOLOGIST KROWECH: Staff from
19 many programs were concerned about contaminants in air.
20 And traffic-related air contaminants were mentioned
21 repeatedly, as were PAHs. I'm just going to mention three
22 PAHs now:

23 Naphthalene, in addition to being a product of
24 diesel and gasoline consumption, was nominated because
25 it's a significant component of paving and sealing

1 material for parking lots. And over time it is released
2 into the air.

3 1-hydroxypyrene, it's been mentioned before, was
4 suggested as a marker for PAHs.

5 A nitro PAH was suggested. 1-nitropyrene is a
6 good marker for diesel particle exposure.

7 A number of volatile organic compounds were
8 mentioned.

9 And others: Asbestos and crystalline silica were
10 of concern.

11 --o0o--

12 OEHHA STAFF TOXICOLOGIST KROWECH: There was, as
13 I said, wide concern about diesel exhaust, including
14 particulate matter, and gasoline exhaust and vapors.

15 These are individual fuel-related pollutants that
16 were suggested. There are multiple sources for many of
17 these pollutants. I'm just putting them all here as a
18 place to put them.

19 And also a biodiesel exhaust was suggested as an
20 emerging concern.

21 --o0o--

22 OEHHA STAFF TOXICOLOGIST KROWECH: Indoor air
23 concerns.

24 Okay. I've mentioned the phthalates and the
25 PBDEs. We also received a list of PAHs that are of

1 concern in indoor air and a list of VOCs that are of
2 concern in indoor air. And cotinine was on a list
3 earlier.

4 Of the others, acrylonitrile is a concern in
5 indoor and outdoor air and was mentioned both by indoor
6 air and by several people working in outdoor air concerns.
7 Preliminary information on this suggests that it comes
8 from car interior materials, possibly hoses and other
9 products under the hood and some household products and
10 building materials.

11 Formaldehyde and acetaldehyde are also of high
12 concern in indoor air. And it was suggested that
13 formaldehyde might be decreasing, basically because of
14 some new regulations and push towards more green building.
15 Acetaldehyde has been increasing. And no one is quite
16 sure where it's coming from. It's suggested that perhaps
17 it's used more as an alternative to other chemicals that's
18 being used in a wide array of products right now.

19 Triclosan and other antimicrobials were mentioned
20 before.

21 Also terpenes were suggested as chemicals to
22 biomonitor. These are used in degreasers and have that
23 nice orange and lemony smell. And they react with ozone
24 present inside to form formaldehyde and ultrafine
25 particles.

1 And, again, nanoparticles are a concern. And it
2 was suggested to biomonitor for titanium dioxide and
3 silver oxide as a marker for them.

4 --o0o--

5 OEHHA STAFF TOXICOLOGIST KROWECH: This is a
6 combined list of solvents and VOCs. Some staff named
7 solvents as a concern. There are solvents that were
8 respiratory solvents. Solvents in household goods were
9 mentioned. Some staff named VOCs and some suggested
10 individual chemicals. So they're all on this list here.

11 --o0o--

12 OEHHA STAFF TOXICOLOGIST KROWECH: Other
13 suggested suggestions for biomonitoring were water
14 disinfectants and disinfectant by-products.

15 I'll just point out that chloroform was also one
16 of the indoor air problems or concerns mentioned from
17 filling up the bathtub, from showers, from dish washers.

18 Also haloacetic acids and NDMA and chloramine.

19 --o0o--

20 OEHHA STAFF TOXICOLOGIST KROWECH: Other concerns
21 in water and food. Pharmaceuticals and personal care
22 products were mentioned by a number of staff.

23 Nitrates were of concern, particularly to the
24 birth defects monitoring unit.

25 1,4-dioxane was mentioned. I think it was

1 mentioned yesterday how it's in some personal care
2 products. I was told something entirely differently, that
3 it actually is a solvent stabilizer. And so it was used
4 in a small amount with some of these other solvents like
5 perc and TCE. And so it contaminated the soil. As
6 they've been cleaned up or basically have disappeared, you
7 know, somewhat, 1,4-dioxane is concentrating. And so it's
8 a groundwater problem. And in some areas -- I don't think
9 in California, but in some areas it's a drinking water
10 problem at this point.

11 MTBE and microcystin were also mentioned.

12 In food, concerns were dioxins and furans.

13 Growth hormones and pharmaceuticals from animal
14 sources. It was commented that nobody really is paying
15 any attention to what is -- you know, basically what we
16 are receiving from them.

17 Acrylamide. Again, acrylamide also some
18 information from indoor air people. Acrylamide was seen
19 in indoor air in a couple of studies.

20 And caffeine was also suggested.

21 --o0o--

22 OEHHA STAFF TOXICOLOGIST KROWECH: So, in
23 conclusion, some chemicals -- certain chemicals or classes
24 were a concern across many programs. Some staff
25 identified chemicals that may be emerging concerns. And

1 that includes exposures to chemical alternatives such as
2 PBDE and phthalate replacements and methyl siloxanes.

3 There's new data pointed out about persistence,
4 bioaccumulation, and toxicity.

5 And I will be putting together everything that
6 I've heard on some of the other questions as well in the
7 report.

8 And, lastly, I would like to acknowledge and
9 thank state staff who very generously offered their
10 suggestions, thoughts, and time.

11 CHAIRPERSON MORENO: All right. Thank you, Gail,
12 for that presentation.

13 And on behalf of the Panel, I'd like to also
14 thank all the state staff that are here. And if you can
15 go back and share with the people -- the agencies you come
16 from. Thank you for your participation and the time
17 you're taking to help us.

18 All right. Questions from our Panel members.

19 Let's start here on the right.

20 PANEL MEMBER MCKONE: I'm curious that out of all
21 these people, nobody mentioned the perfluorinated-type
22 compounds, PFOS, PFOA. Or were they --

23 OEHHA STAFF TOXICOLOGIST KROWECH: Yes, they did.
24 And it was --

25 PANEL MEMBER MCKONE: Because they certainly were

1 of interest --

2 OEHHA STAFF TOXICOLOGIST KROWECH: No, it was on
3 my list -- the first list I had of CDC categories that
4 were mentioned most frequently. I didn't come back to it,
5 because I didn't really get specific information from
6 people. I mean sometimes people said PFOA or PFOS or
7 fluorotelomers. But I didn't get the names of specific
8 chemicals. And I should have mentioned that was the only
9 one of that whole class that I didn't come back to.

10 PANEL MEMBER MCKONE: And then the other -- the
11 people who mentioned biodiesel, what did they have in mind
12 as a biomarker? The additives --

13 OEHHA STAFF TOXICOLOGIST KROWECH: I don't know.

14 PANEL MEMBER MCKONE: -- that might go into it or
15 some comparable --

16 OEHHA STAFF TOXICOLOGIST KROWECH: I can go back
17 and ask those people --

18 PANEL MEMBER MCKONE: I mean biodiesel it's hard
19 to think of a biomarker then anyway.

20 Well, there are some diesel particle biomarkers.
21 But biodiesel particles might be quite different, so I
22 don't know if anybody's even posed a biomarker.

23 OEHHA STAFF TOXICOLOGIST KROWECH: There were a
24 couple of people, and I can go back and ask them.

25 CHAIRPERSON MORENO: Have a few more questions on

1 this side?

2 PANEL MEMBER SOLOMON: I'm really impressed.

3 That was really -- I think that's super, super helpful.

4 So thank you and thanks to all of the staff that

5 participated. It's amazing. Because it sort of does a

6 lot of our work for us. We've got a lot of options laid

7 out here.

8 I don't know if my question is best right now or

9 if it should be saved till later, because what I'd

10 actually like to do is ask some of the lab staff to

11 comment on some of the chemicals that are sort of more

12 emerging ones, particularly triclosan and triclocarban.

13 Where's Myrto?

14 Whether -- oh, there you are.

15 You know, whether there is a method available for

16 those, how difficult it would be to add them. Same for

17 some of these interesting flame retardants like

18 tetrabromobisphenol A and the phthalate-like flame

19 retardant and the chlorinated tris, all of which would

20 seem to be a good sort of fit for the direction that the

21 DTSC lab, you know, is going and sort of for some

22 California leadership on some of these issues. So I want

23 to know how big a reach it is from a laboratory

24 perspective.

25 But should we hold that until a little later on

1 or --

2 CHAIRPERSON MORENO: We're going to Try to break
3 at 12. We still need some time for public comment. But
4 it's fine if the Panel wants to get a little more
5 information right now.

6 CDPH RESEARCH SCIENTIST LEE: Triclosan is
7 actually on the 2003-2004 CDC list. So they must have a
8 method, and probably that --

9 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF
10 PETREAS: Yes, Diana. I said many of these are already on
11 the CDC list and their methods. Many of them are similar
12 to things that we already do, so it would be an easy
13 expansion towards them. But we haven't done it yet. It
14 is possible with resources.

15 (Laughter.)

16 CHAIRPERSON MORENO: Dr. Quint.

17 PANEL MEMBER QUINT: I had a question. Some of
18 the emerging and replacement chemicals, it's not clear to
19 me whether or not we have toxicity data. You mentioned in
20 some cases that we didn't know anything about them. So I
21 think one of the limitations possibly for us would be -- I
22 guess the question is whether or not state staff have
23 recommended to NTP or anybody that these chemicals be
24 tested if that's, you know, appropriate. I don't know if
25 they're considered reproductive developmental toxicants or

1 what. You know, because it is a valid concern, you know,
2 to question whether or not these replacements are safe.
3 But in terms of biomonitoring before we engage in lab
4 procedures or stuff, I think we'd probably want to know a
5 little bit more definitively what the tox data are for
6 some of these things.

7 So that's a question I'd have about some of the
8 new replacement and emerging chemicals.

9 OEHHA STAFF TOXICOLOGIST KROWECH: Okay. Of the
10 ones that I mentioned, the new replacement for penta --
11 (2-ethylhexyl)tetrabromophthalate is the one where there's
12 nothing -- there's no information about it.
13 Tetrabromobisphenol A and hexabromocyclododecane, there's
14 a lot of toxicity information. For hexabromocyclododecane
15 there are studies that are very similar in terms of
16 developmental -- neurodevelopmental effects in animals,
17 the same as PBDEs. And I know that tetrabromobisphenol A
18 also has thyroid effects. So I think those two are pretty
19 well known. They've been around for a long time. They're
20 not necessarily really replacement chemicals. They're
21 just I think coming into higher use right now in the
22 United States.

23 PANEL MEMBER QUINT: Okay. And none of these are
24 we familiar -- are any of these on the OEHHA list of any
25 kind, any sort of notice for these, do we know?

1 Anyway, you don't have to answer that.

2 Tris is.

3 OEHHA RESEARCH SCIENTIST HOOVER: Gail, isn't
4 it -- this is being tracked as a carcinogen. There's
5 evidence of -- Sorry. Sara Hoover, OEHHA.

6 They're not listed. But that one is being
7 tracked and is definitely I think a reasonable candidate
8 to be listed. And we'll see.

9 PANEL MEMBER QUINT: Right. And of course native
10 paraffins, which is a replacement for PBDEs, which is
11 being used in Europe. And there's a lot of concern in
12 Europe about chlorinated paraffins. And I know you have
13 one chain link type on your Prop 65 list.

14 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

15 I also wanted to respond briefly to your comment
16 about the lack of toxicity data.

17 If you have a compound like tetrabromo DEHP where
18 we have plenty of reason to be concerned about the
19 non-brominated compound. And we have -- I think the
20 halogenated flame retardants in general, virtually every
21 one that's been tested for any kind of toxicity has shown
22 some sort of effect in terms of developmental toxicity,
23 endocrine disruption, carcinogenicity that the lack of
24 toxicity data for a specific chemical where you have a lot
25 of reason to be concerned toxicologically I think should

1 not be an impediment actually trying to look for it --
2 to --

3 PANEL MEMBER QUINT: No, I'm certainly in
4 agreement with going with chemical classes. That would
5 have saved us a lot of time in the past. But I just want
6 to make sure that we have that before we start down the
7 path. It's harder to argue for if you don't have the
8 data.

9 CHAIRPERSON MORENO: Dr. Wilson, you had a
10 question?

11 PANEL MEMBER WILSON: Yeah, a question and a
12 comment.

13 First, question was if the CalOSHA Research and
14 Standards Unit and/or the HESIS staff were interviewed as
15 part of this on the occupational health side?

16 OEHHA STAFF TOXICOLOGIST KROWECH: The
17 Occupational Health Branch discussed the questions as a
18 whole, as a group. And then one person got back to me.
19 So it was -- I received a group discussion -- a group
20 report.

21 CDPH REPRODUCTIVE EPIDEMIOLOGIST FENSTER This is
22 a --

23 CHAIRPERSON MORENO: Could you introduce
24 yourself.

25 CDPH REPRODUCTIVE EPIDEMIOLOGIST FENSTER: Laura

1 Fenster from Occupational Health Branch in the Department
2 of Public Health.

3 CHAIRPERSON MORENO: Okay.

4 PANEL MEMBER WILSON: So that included CalOSHA?

5 CDPH REPRODUCTIVE EPIDEMIOLOGIST FENSTER: No,
6 not CalOSHA.

7 OEHHA DIRECTOR DENTON: You need to use the
8 microphone. Our court --

9 CDPH REPRODUCTIVE EPIDEMIOLOGIST FENSTER: We
10 weren't charged with asking for CalOSHA input. But we
11 certainly could work on that I think. There are
12 physicians, as you know, in the Occupational Health Branch
13 that do serve on the Standards Board, and people are
14 active. But we didn't do that formally. We could do
15 that.

16 OEHHA DIRECTOR DENTON: Would you re-identify
17 yourself.

18 CDPH REPRODUCTIVE EPIDEMIOLOGIST FENSTER: Hi.
19 I'm Dr. Laura Fenster. I'm a reproductive epidemiologist
20 and I work in the Occupational Health Branch in the
21 California Department of Public Health.

22 PANEL MEMBER WILSON: Thank you.

23 Yeah, it seems to me that would be really
24 important.

25 And the second is a comment. If it's possible --

1 I'm interested in sort of the weighting of how the staff
2 from the different departments, how many of them
3 identified endocrine disruptors, for example, as a
4 problem, or any of the other ones listed here. And I
5 don't know if it's possible from the way that you gathered
6 the information to tabulate that.

7 OEHHA STAFF TOXICOLOGIST KROWECH: It's possible
8 to look at it that way. I think the problem was that
9 sometimes people said endocrine disruptors. Sometimes
10 people name specific ones. So I'd have to, you know,
11 figure out the best way to handle that.

12 PANEL MEMBER WILSON: Yeah. Do you see the -- my
13 intent here is to try to weight some of the responses.

14 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

15 And it might be possible to do that. I guess I'm
16 a little concerned about taking a lot of time to go
17 through this effort. Because, as Laura just mentioned,
18 some of these were the results of group discussions of
19 quite of number of people, where one individual comes back
20 to Gail for that. So she doesn't really know how many
21 people were involved in coming up with a specific
22 recommendation, say, for endocrine disruptors. But they
23 were mentioned very frequently by the staff that were
24 contacted in almost all the agencies.

25 PANEL MEMBER KAVANAUGH-LYNCH: I think related to

1 several of the questions that have been asked, these were
2 great summaries and really helpful. But -- and I think
3 what would be very helpful to me -- and some of it is, in
4 terms of understanding weighting or understanding more of
5 the issues behind each of them, is actually seeing reports
6 that are more detail of the actual comments that were
7 collected. Do have an estimate on -- I assume that's in
8 the works.

9 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

10 BRANCH CHIEF ZEISE: We worked very hard to get you the
11 reports for this meeting. But we just weren't able to
12 accomplish it. So that we hope by the next meeting you
13 will have reports. But, again, it really takes a lot of
14 staff work to do this. And we are affected by resources
15 along with the lab. So we are trying. But we hope by the
16 next meeting to have reports.

17 And you do have materials in your binder as well.
18 But, again, we are looking forward to getting you reports.

19 CHAIRPERSON MORENO: Yeah, go ahead.

20 PANEL MEMBER SOLOMON: I actually just wanted to
21 comment on what the -- you know, sort of how we will
22 potentially be using these reports as a committee.
23 Because I see them as a really, really useful way of sort
24 of casting a wide net and bringing in lots of ideas and
25 input. I'm not sure that we want to, you know, get -- how

1 much we can really squeeze out of these surveys in terms
2 of detail, because in the end we're going to be having to
3 make the decisions. We're not actually looking to the
4 folks who are surveyed to make the decisions. And so it's
5 not really a vote or, you know, we're not going to be
6 going, well, it was actually 74 percent of people said
7 that this is more important than that, you know, where
8 only 68 percent said it's important, so therefore we
9 should put this on the list and not that.

10 So I think we've got a lot of what we need right
11 here. It will be interesting to see the written reports.
12 But I do want to sort of put a little caution about like
13 weighting, you know, not going ahead with what we've heard
14 so far and postponing too much for more details.

15 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
16 BRANCH CHIEF ZEISE: Yeah, I do want to say that that is
17 sort of what we had in mind as we undertook these
18 processes. We would have done things differently if we
19 wanted to come up with weights. It would have been a
20 different process.

21 PANEL MEMBER QUINT: I think one of the things,
22 having worked in the state, that's important that you did
23 in the first phase of this or what you've collected
24 already is tapping into the minds of state folks who deal
25 with these public health issues surrounding these

1 chemicals. So for me what's important is not only what
2 they listed and said was important but what -- do they
3 think some public health action they took has not -- you
4 know, they want to know if that's been, you know,
5 successful or whether or not they have proposals for
6 public health actions around some of these chemicals,
7 because that's really important too. That will help us
8 decide if we're trying to be strategic with little
9 resources or, you know, the dwindling resources as to what
10 to focus on. It would be very nice to get the wisdom of
11 the state staff who participated as to what they think
12 could be done, what they would like to see done, or what
13 they've been disappointed that hasn't happened in addition
14 to concern about the emerging replacements, which I think
15 is a real big concern.

16 So I don't know what you were planning to put in
17 the report. But just something simple about why they put
18 it on and what they think was important about it would be
19 really helpful to me.

20 CHAIRPERSON MORENO: If I may. It sounds like
21 what I'm hearing here is that you've been working on a
22 report and there's some information that would be I guess
23 in a summary format for the Panel but there's also some
24 information that the Panel members would like to see in
25 the report. So maybe just -- could you take a minute and

1 tell us how you plan -- your intention for formatting that
2 report for our use.

3 OEHHA STAFF TOXICOLOGIST KROWECH: Well, the way
4 that I was actually putting this together was basically a
5 summary of the chemicals that were suggested and basically
6 going by program, not the individuals in the program, but
7 the program, for instance, Occupational Health Branch as
8 one program that thought X chemical is important and sort
9 of -- just to give a sense. But I really felt that many
10 of the people that I talked to -- and I didn't mention
11 this before. But altogether I talked to or got written
12 input from over 50 people. And some of those were, you
13 know, group responses. So I think there were a number of
14 people who were involved in this. But I didn't give them
15 a list of chemicals and say this is -- you know, "which
16 ones do you think" -- "if you chose biomonitoring, which
17 ones are really important?" And so I think I got
18 something about what was on their minds. But I didn't --
19 you know, I don't think that a certain chemical should be
20 less important because it wasn't mentioned by, you know,
21 one program, because maybe others were on their minds much
22 more at that time.

23 So I think it's -- it's a little tricky to write
24 a report, you know, as what was most -- you know, in total
25 what was most important.

1 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

2 Yeah, all this meant though -- this may be
3 stating the obvious. I mean when she talks to somebody
4 from the Air Resources Board, they're not really going to
5 be too concerned about personal care products from a
6 programmatic standpoint, surprisingly, I mean from the
7 cosmetics -- from cosmetic -- for dermal absorption. I'm
8 sorry. But they're interested from the -- each one of the
9 programs is going to be focusing on different things
10 depending on what their program mandate happens to be.

11 Yeah, so that makes it difficult to try and think
12 about weighting them about, well, how come everybody
13 didn't mention X or how come everybody didn't mention Y,
14 is because it may not be part of their jurisdictional
15 mandate, and they're looking at it from a programmatic
16 standpoint

17 PANEL MEMBER WILSON: So, yeah, I sort of framed
18 my question around if the data were collected in such a
19 way that they could be weighted. And it seems that that's
20 not really the case, that you have sort of an
21 impressionistic sort of assessment and that will be
22 valuable -- I mean we can use that. It will be valuable.

23 So I don't mean to put you into a box that you
24 can't climb out of.

25 PANEL MEMBER KAVANAUGH-LYNCH: But I'll say

1 that's in a way why this summary is a little difficult,
2 because of the awareness that there is a certain element
3 of haphazardness about it. And so what interests me more
4 is some of the background behind why some of these were
5 mentioned rather than sort of a summary of, okay, here's
6 the list of every chemical that was mentioned by anybody.

7 OEHHA STAFF TOXICOLOGIST KROWECH: No, I was
8 writing a summary that included why it was mentioned and
9 any details -- for instance, what I said about vanadium,
10 that, you know, someone had taken the time to tell me this
11 might become more important in the future and this is why
12 it's of concern.

13 CHAIRPERSON MORENO: Well, it's ten till. And I
14 understand we'll have a little bit more time after lunch
15 to discuss this topic -- continue this discussion or any
16 other topic of interest. And with the last ten minutes I
17 wanted to go ahead and open this up for public comment.

18 And I have, is it two cards? Maybe a few more?

19 Okay, thanks.

20 Okay. We have a few.

21 All right. We're going to go ahead and take the
22 30 minutes.

23 There's two -- on these cards you provided your
24 names and then there were -- there was number 1 you could
25 check, that you wanted to give comment for possible

1 chemicals for biomonitoring in California; and number 2,
2 approaches for identifying priority chemicals for
3 biomonitoring in California.

4 And, Lauren, if I understand this, this morning
5 we'll take comments of those who checked number 1; and
6 we'll hold on to the purple cards that they checked number
7 2 for comments this afternoon.

8 So we'll go ahead and start taking a few.

9 Is Davis Baltz here with Commonweal?

10 MR. BALTZ: Dr. Moreno, members of the Panel.

11 Thank you for the opportunity to comment. I'm Davis
12 Baltz. I work with an NGO called Commonweal in Bolinas,
13 California, with a long-time interest in biomonitoring.

14 I want to start and just offer also my
15 congratulations to all the staff who worked on this
16 program. I've talked with many of them over this first
17 year of funding. And I think you heard from the reports
18 today there's been great strides made in hiring staff,
19 purchasing equipment, and involving the public in getting
20 involved in this important program. And I can say that
21 I've really detected excitement and enthusiasm.

22 I think the staff who are working on this program
23 really are going to see it reach its full potential. And
24 it sort of ties in with Dr. Bradman's comment about hear's
25 an opportunity for California to actually build some

1 laboratory capacity which will of course benefit us in and
2 of itself, but could also perhaps be a revenue generating
3 stream to help defray expenses in the future.

4 I was struck yesterday when we heard about Canada
5 and Germany's programs about the robust infrastructure
6 that support these programs. Canada, for example -- and
7 I'm happy to see Doug Haines in the audience again today.
8 The Canadian Health Measures Survey, the biomonitoring
9 program there is able to piggyback on this existing
10 structure in the same way that CDC can tap in to NHANES.

11 And Germany as well. We were surprised at how
12 inexpensive it seemed to be to run Germany's program. And
13 then that's in large measure because they have this
14 infrastructure in place to support many of the tasks that
15 the biomonitoring program does.

16 So all this is to say that, you know, here in
17 California we don't have that, and we're building a
18 program from scratch, which is all the more, you know,
19 reason to be concerned about the budget, but also to
20 acknowledge that great progress has been made.

21 I want to remind everyone on the Panel and in the
22 audience that the statute gives pretty wide latitude to
23 the program to select chemicals. The CDC list is sort of
24 the first batch of designated chemicals. But a criteria
25 that the Panel and the program can also consider are quite

1 inclusive; the first one, for example, if there is
2 exposure -- or potential exposure to a chemical, that can
3 be added. So I hope that you will, and I know that you
4 will, keep your antenna up and a broad view of any
5 chemical concern that you think would be important to
6 biomonitor.

7 And although the survey -- the SurveyMonkey
8 didn't specifically ask questions by -- a question by
9 health effects, I think that's another important sort of
10 overarching question to look at in terms of what chemicals
11 could enter the program, because obviously we want to
12 promote public health and ultimately save money with this
13 program also in terms of reduced health care costs and
14 potential environmental remediation as well.

15 Both Canada and Germany seem to put a focus on
16 the child. Canada expanded to the pregnant woman as sort
17 of the population that was to be protected the most. And
18 it's going to be very difficult to zero in on a specific
19 list of chemicals for this program. But one thing I just
20 would like to, you know, put out for your consideration
21 is: Would we want to sort of make fetal contaminants a
22 high priority for this program and explore biomonitoring
23 chemicals that we find in umbilical cord blood?

24 I know we have other comments, so I'll stop there
25 for now. And thanks very much.

1 CHAIRPERSON MORENO: Thank you very much.

2 I forgot to mention we're going to try to limit
3 our comments to about three minutes per person. We've got
4 seven more comments -- people who want to share their
5 comments.

6 Sumi Hoshiko. Are you here?

7 MS. HOSHIKO: I actually have a handout.

8 CHAIRPERSON MORENO: Welcome.

9 MS. HOSHIKO: So my name is Sumi Hoshiko. I'm a
10 research scientist and environmental epidemiologist with
11 the Environmental Health Investigations Branch. I'm
12 submitting these comments not as an official
13 representation of the branch, but as a researcher in the
14 branch with an interest in some areas.

15 I want to thank the Panel for allowing me to
16 speak and also for your emphasis on inclusiveness and
17 participation.

18 I guess today I'm hoping to just raise some
19 ideas. I think the Panel members here are selected to not
20 be inside-the-box thinkers but outside-the-box thinkers,
21 and we can all maybe, you know, together think outside the
22 box.

23 The issue that I'm interested in is radionuclide
24 and monitoring.

25 You probably all read that the U.S. Government

1 used to monitor for radionuclides. We tested human
2 vertebrae in adults and children, milk, precipitation,
3 drinking water. Most of those programs were discontinued.
4 Some have continued.

5 And then more recently, after 9/11, U.S. EPA has
6 resumed some environmental monitoring. They're now doing
7 hourly air measurements for radionuclides, you know, part
8 of homeland security.

9 One of the best sources we have historically of
10 in vivo measurements or for human biological measurements
11 comes from a study that was done sort of in the late
12 fifties and went to 1970 of human baby teeth that was
13 collected as part of a large collaborative effort of
14 community organizations, boy scouts, girl scouts, YMCA,
15 unions, and academic institutions, which measured
16 Strontium 90 and showed the dramatic rise and fall of
17 Strontium 90 during the era of atmospheric testing.

18 More recently a small NGO in the New York area
19 has started collecting baby teeth and analyzing it for
20 Strontium 90, you know, along the lines of the original
21 baby tooth study, but with better methods so they could
22 they test individual teeth. In the past they had to, you
23 know, grind up a lot of teeth together to get averages.

24 Their data suggests -- you know, also show the
25 rise and fall. Because when you take a tooth, you know,

1 you can adjust the measurement back to the time of birth
2 based on the half-life of Strontium 90. But they're
3 also -- we're seeing the beginning of a rise again in
4 levels in late 1990s. They suggest that this is also
5 paralleled by environmental monitoring. I haven't been
6 able to verify that, but I think it's an area we need more
7 data.

8 And, you know, some other points about monitoring
9 using baby teeth. You know, it's a noninvasive type of
10 medium. It can be collected and stored for later
11 measurement. And the fact out of these 300,000 teeth in
12 the nineties they found 85,000 unmeasured teeth in the
13 basement of Washington University, which have now been
14 given to the -- this NGO is the custodian who's trying to
15 find the funds to test these teeth.

16 But we have obviously a lot of potential, you
17 know, reference data if we collected now. It's a marker
18 for in utero exposure because teeth are formed in utero.
19 You know, we also need to remember that there could be
20 multi-generational effects because human ova are formed in
21 utero at the same time.

22 And, you know, we know from these measurements
23 and other studies that the peak of exposure was in the
24 early 1960s, and so there was a whole generation of us --
25 my tooth was in the original St. Louis study -- who are

1 now reaching the age where we're, you know, more at
2 risk -- higher risk for different cancers.

3 And, you know, the question of -- I think most of
4 us have sort of accepted, you know, environmental
5 radiation, which in the past was from atmospheric testing,
6 and now may be from various nuclear facilities, you know,
7 potentially at some other minor sources and whatever is
8 residual in the environment. And really thought that it's
9 negligible, we can't distinguish -- you know, it's
10 something so small, we can't tell any difference, and
11 there's natural background radiation anyway and it's not a
12 concern. That may be, but I'm not sure we've verified
13 this.

14 A recent meta-analysis of childhood leukemia near
15 nuclear facilities showed a consistent significant
16 association with childhood leukemia. You know, other
17 studies of cancer near nuclear facilities have been pretty
18 negative. But I think it's different when you look at a
19 childhood cancer. And this association was stronger in
20 the younger age group.

21 You know, Americans move a lot. In the 2000
22 census only 54 percent of the people actually lived in the
23 same house five years previously. So the idea that we can
24 actually see associations in small areas with cluster-type
25 investigations without looking broadly, you know, I think

1 those studies are likely to be negative. But for young
2 children, it's possible you can see that type of
3 association. Obviously a study like this, even a well
4 conducted meta-analysis, doesn't show causality. But, you
5 know, it's of interest. And I was interested to hear that
6 community members near nuclear facilities have some
7 concerns probably having something we should establish
8 reference values maybe of use.

9 What else can I say? So I guess I invite your
10 thoughts on exploring this further. California
11 Biomonitoring Program may or may not be the right place to
12 conduct this kind of investigation, but I thought this
13 would be a good group to bring it up with. I think it's
14 something to consider. We could -- I think there's an
15 interest -- you know, there would be value in monitor
16 trends, you know, understanding the variability, trying to
17 understand have levels really returned to baseline or are
18 we living with a certain level of exposure currently.

19 I guess that's about it. Thank you.

20 CHAIRPERSON MORENO: Thank you.

21 We have LaDonna Williams, People for Children's
22 Health and Environmental Justice.

23 Are you here?

24 MS. WILLIAMS: Good morning -- or afternoon. And
25 I'll keep it to the three minutes. But I wouldn't be here

1 later this afternoon, so I wanted to kind of comment on
2 both.

3 Yesterday I appreciated the comment that Ms.
4 Denton made about the Panel focusing on trying to ensure
5 that this program is reflective of California and its
6 issues. But when I look at the Panel for me as an African
7 American and a community that's been affected by these
8 toxins and knowing that we have all fought very hard to
9 get a program like this in place to begin to address this
10 issue -- wait. One question I wanted to ask Ms. Quint,
11 were you originally on this Panel? Because I saw the
12 agenda --

13 PANEL MEMBER QUINT: No, I was sworn in today. I
14 was a replacement for Dick Jackson.

15 MS. WILLIAMS: Oh, okay. So you just pretty much
16 joined the Panel?

17 PANEL MEMBER QUINT: Officially today. But I was
18 invited a couple of months ago by Senator Perata.

19 MS. WILLIAMS: Okay.

20 PANEL MEMBER QUINT: So I'm his representative.

21 MS. WILLIAMS: So my question being -- and not to
22 offend anyone -- but this Panel does not reflect
23 California in my opinion. With all the -- and I know you
24 have all worked very hard for your titles. I know it was
25 mandated in the statute that it would be a technical panel

1 scientifically put together. But I think one major part
2 that has been overlooked is community expertise and
3 environmental justice expertise. If you really want to
4 get to the meat of what we need as Californians here and
5 addressing this issue, I think real value would have been
6 added to this Panel had we respected the expertise that
7 comes out of our communities working with you all, to
8 really be able to get a real effective program going.
9 We've got doctors. We've got, you know, various people
10 here. And, again, that missing component are those of us
11 that have dealt with this from ground floor up. So I want
12 to add that.

13 And I'm hoping -- because I want you to know from
14 the EJ perspective, we are pushing that EJ and community
15 perspective be included in this Panel. So if we have to
16 go, you know, get an act of Congress to do it, that's what
17 we should do. But I'm hoping that you all as Panel
18 members will recognize that and help us with that so that
19 we can add that element here.

20 As far as the selection for the possible
21 chemicals that should be selected here, again, just, you
22 know, from a community perspective and working on EJ, I
23 don't really see the chemicals of concern for our
24 communities - Hunters Point, Midway Village, refinery --
25 the communities in Oakland that are exposed to the

1 refineries. You know, I'd like to see some real concern
2 or at least believe that you guys will include some of
3 these community members who have been exposed in this
4 proposed -- what is it? -- selection of people that will
5 be representative of California when it comes down to the
6 testing.

7 And also I wondering, is there any focus -- and I
8 think I asked that question yesterday, if there's going to
9 be any focus on changing the Reference Man, that goes back
10 to -- as I remember as a community member having to
11 understand what environmental exposure and contamination
12 was all about, we had to go to ATSDR's Superfund -- I
13 think it was a thousand page Superfund manual. And it
14 listed all these chemicals in there. And then it told us
15 how they came up with the measurements and things like
16 that. And they referred to this reference male model,
17 which is a white male, 170 pounds, exposed in I guess a
18 work setting. And they use it as a guideline. And I'm
19 thinking, okay, if we're going to be using this 100-year
20 outdated Reference Man included in this materials -- I
21 mean this project, those materials are outdated, so, you
22 know, we'd like to know as they begin to move forward with
23 this and they start to label these chemicals, will we
24 really get an effective analysis in reading?

25 Because, again -- and I can only refer to my

1 experience with DTSC and OEHHA. We have worked with these
2 agencies since the early nineties. And as a community
3 that is living on a Superfund site -- and I'm referring
4 actually to my former community, Midway Village. This
5 community has been built on a Superfund site. They are
6 still living on this Superfund site that has many of those
7 chemicals and many more. And when DTSC engaged and OEHHA
8 engaged, they've all admitted that this site is
9 contaminated, that there is current exposure. But then
10 their final analysis is it's not enough to be concerned,
11 and these people can continue to live on this site.

12 That's is a huge environmental injustice. It's
13 racist. And I'd really like to know -- you know, not that
14 we expect biomonitoring is going to be the only tool and
15 the end-all, but there's got to be a beginning somewhere.
16 And I keep hearing, when we talk about biomonitoring, what
17 not to expect. Well, why wouldn't we expect it? I mean
18 somebody else made a comment of being an out-of-the-box
19 thinker. Well, we've been in the box for too long, and
20 it's time to really jump out of that box and start doing
21 some protective health measures. We haven't gotten that
22 from DTSC. We haven't gotten it from any of these
23 agencies including CDC and ATSDR.

24 Trust me when I say Midway Village has interacted
25 with all of these agencies. And we have come back with

1 the same analysis from these agencies who results is the
2 fact that they believe it's okay for people to live on a
3 toxic dump. And because it's happened for many years and
4 nothing has been done, then they can continue living that
5 way.

6 I'm hoping that this Panel recognize the need to
7 change things. And, that is, starting with that
8 community, they need to be a part of this biomonitoring as
9 well as others. But if biomonitoring is not going to help
10 change the way we've done business within the world of
11 exposures and contaminants, then what's the use? This is
12 just another shell of a project that's put together where
13 millions are spent and we're coming up here making these
14 comments time after time again thinking we're going to get
15 in change and we don't.

16 I'm hoping this is the beginning. From the
17 process so far, I don't really feel that, because this has
18 to me again been more of a bystander sort of setup to
19 where we're listening to the Panel when the staffs and the
20 agencies interact, while we who are living this nightmare
21 is sitting on the side hoping to give comments. And I
22 know in here it says meaningful participation. But
23 "meaningful" so far has been, "Come, give comments. Thank
24 you," pat you on your head and go on, we can ignore your
25 comments and your suggestions.

1 I'm hoping this is a different process.

2 Thank you.

3 CHAIRPERSON MORENO: Thank you for your comments.

4 We have a few more.

5 Scott McAllister with CalOSHA. Did you wish to
6 comment at this time?

7 MR. McALLISTER: Thank you very much.

8 CHAIRPERSON MORENO: Come on down, please.

9 MR. McALLISTER: No, I don't think I'll have any
10 trouble being heard.

11 CHAIRPERSON MORENO: We need to --

12 MR. McALLISTER: Oh, for the reporting? Okay.

13 CHAIRPERSON MORENO: Did you also wish to speak
14 this afternoon as well.

15 And Mike Horowitz.

16 Do you guys want to come down together? Yeah.

17 MR. McALLISTER: Hello. Thank you very much.

18 And it's good to see there's -- that's wonderful.

19 Hi. My name is Scott McAllister. I'm the Senior
20 Industrial Hygienist for CalOSHA in Northern California
21 Region 1.

22 Now, this is Mike Horowitz. He's the Senior
23 Industrial Hygienist in the Research and Standards
24 Division of CalOSHA. And we also have a couple of our
25 colleagues in the audience here. And we're very happy to

1 be here.

2 CalOSHA - that would be the chopped liver agency.

3 And I just wanted to remind some folks here very briefly

4 that -- and doing a little quick math -- that within the

5 CalOSHA industrial hygiene community, we have

6 approximately somewhere between 7 and 800 collective years

7 experience -- person years experience in evaluating

8 chemical exposures to Californians. And that's just right

9 now. That doesn't even count all the years of experience

10 of industrial hygiene work that we've been doing here

11 since 1974 and our own Occupational Safety and Health Act

12 was passed.

13 So that was Mike's question and comment.

14 Mine, however, is --

15 (Laughter.)

16 MR. McALLISTER: -- a little more practical. And

17 it's a quick one.

18 As a regulatory person, when we measure any

19 exposure to a California worker -- and that includes a

20 medical removal level for, you know, different

21 contaminants in the workplace -- we make sure that those

22 folks are medically removed.

23 Now, your participants -- about 80 percent of

24 your participants are going to work for a living. They're

25 going to have exposures that result in diseases and

1 physical conditions which you're going to measure with
2 your biomonitoring.

3 And I think we're interested as to what will
4 happen there. Will there be referrals to the Division of
5 Occupational Safety and Health if you find individuals who
6 are identified as most likely, you know, being exposed at
7 work and having a blood lead level that's over 50 and for
8 which they should be medically removed from the workplace?
9 And that would involve a number of expanded standards that
10 we have. Question. Have you considered this?

11 CHAIRPERSON MORENO: Well, Dr. Lipsett.

12 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

13 Yeah, the law requires that participants who
14 request their results may receive all of their results.
15 And one of the practices that we would be following for
16 sure even apart from that, and would be similar to what
17 the CDC does, that for specific toxicants where there are
18 clinical action levels, for example, if the blood level,
19 say, blood leads exceeded those, the individuals would be
20 notified like virtually immediately or shortly after that
21 the results were known.

22 But for analysis, say, of some of the like PBDEs
23 or if that were one of the chemical classes that were
24 chosen, ones that take a longer period of time, people
25 would have access to that information themselves. But

1 there are issues of confidentiality results, for example,
2 that we would have to work out in conjunction with the
3 State Committee for the Protection of Human Subjects.
4 We've started our interactions with them, as Dr. Petreas
5 has said this morning, for our pilot projects.

6 But in terms of the specific aspects of
7 notification, say, of CalOSHA, this is something that
8 would -- it would be a delicate issue with respect to
9 confidentiality, that we would have to, you know, make
10 sure that there would be something in the consent form,
11 for example, that if a person consented to have their
12 results analyzed and they -- say, of a blood lead or some
13 other occupational exposure were found to be above a
14 particular level, then they would have to consent also to
15 giving that information to you. Okay, it's not something
16 that's mandated that we would do by law. And we'd have to
17 respect patient confidentiality and get their permission
18 to do that.

19 MR. McALLISTER: Of course.

20 CHAIRPERSON MORENO: We have another possible
21 response before, if you don't mind.

22 OEHHA DEPUTY DIRECTOR ALEXEEFF: George Alexeeff
23 with OEHHA.

24 I thought we should also mention that we could
25 look at the Occupational Health's literature, of which

1 there are action levels for specific chemicals that
2 include that in our analysis. So that if there are
3 chemicals of which there's suggestions that biological
4 levels would trigger removal or some sort of action, we
5 could include those in our analysis and make sure they're
6 included in the list of information we give you.

7 MR. HOROWITZ: My name's Mike Horowitz, and I'm
8 with the CalOSHA Research and Standards Unit. And may I
9 say that we're not here representing our agency. As
10 interested individuals.

11 What I wanted to point out is that many times
12 when there's an environmental concern and a public health
13 concern, the occupational relationship that may be
14 contributing to the manifestation of disease or effect is
15 overlooked to the detriment of finding a solution. I've
16 attended a number of sessions recently on brominated fire
17 retardants, and many, many talented people, as here.

18 And, by the way, this is not a criticism of the
19 work of the Committee. There was really fine quality and
20 quantity. But just to make sure that any survey
21 instrument -- and this was something I noted yesterday,
22 that it seemed like the occupational -- possible
23 occupational connections are not necessarily delved into
24 in the survey instrument. And so it's kind of a wasted
25 opportunity to not include some level of -- an input in

1 the survey instrument so that somebody, for example, who
2 maybe is exposed to naphthalene or, as I read today in a
3 list serve, there was an association of diabetes with an
4 exposure to chlorinated pesticides -- if we don't make the
5 connection between the possible work connection of
6 individuals, the small number of individuals that we're
7 surveying, we may wash out that occupational connection
8 and it may not be as apparent as it could be.

9 And that really is my point about the importance
10 of including the occupational considerations in choosing
11 these -- both choosing the chemicals initially and then
12 developing the eventual survey instruments.

13 CHAIRPERSON MORENO: All right. Thank you very
14 much.

15 I have two more. Andrea Ventura with Clean Water
16 action.

17 Are you here?

18 MS. VENTURA: I will try to keep this brief.

19 Thank you. I'm Andria Ventura. I'm with Clean
20 Water Action. And we did fill out a survey, so you have a
21 lot of our specifics. Though I kept wanting to write
22 paragraphs after each answer.

23 And I think that this is a really thorny problem.
24 I don't envy this Panel. The job you have to do is, you
25 know, how do you weigh the different factors. And the

1 truth of the matter is is you're going to have to look at
2 several things at once, you know, whether it's the health
3 impacts or the amount of a chemical that's out there or
4 what people are being exposed to, et cetera.

5 Naturally, given the work I do, you know, the
6 first thing that jumped to my mind were the big, you know,
7 toxic chemicals that we know are out there, particularly
8 in water, that being our expertise, and that we know
9 communities that we work with are impacted by. So, you
10 know, I'm thinking about initially the mercury, the PCBs,
11 the pesticides, the perchlorate, those kinds of things --
12 nitrates.

13 But I'm also glad to see, because I work on this
14 as well, you know, the emerging contaminants out there.
15 Because in some cases they're ubiquitous and we don't know
16 the impacts. And that's the comment I wanted to make.

17 I believe you, Dr. Quint, asked about toxicity
18 data. And I think we have to recognize that as we're
19 making decisions, one of the biggest problems is we don't
20 necessarily have that data on a lot of things that are
21 impacting us. Dr. Wilson has talked about that a great
22 deal with the data gap regarding chemicals. And there are
23 efforts, as some of you are involved in, you know, to try
24 to rectify that through efforts around chemical policy and
25 green chemistry initiative.

1 But, you know, I think that we do have to
2 remember that we may be needing to look at chemicals for
3 which we don't have either the toxicity data or the hazard
4 data or even the use data in the state, and we still need
5 to include them on this list, and as hard as you're trying
6 to whittle down, you know, and look at your resources.
7 But that, you know, if the information's not out there,
8 that may be all the more reason to look for these
9 chemicals and see if we need to be collecting that data
10 and protecting our communities from that.

11 One other very quick comment. I know the worst
12 thing to do when you're trying to make a comment and, you
13 know, get people to listen to you is to contradict
14 yourself. And I'm about to do that, because sometimes we
15 live in a paradoxical world. So I agree wholeheartedly
16 with Ms. Williams' comments that we do need to engage with
17 our communities, because they do know sometimes what
18 they're being exposed to, what the health problems they're
19 facing are, and that is an important expertise that we
20 need to capitalize on.

21 On the same token, your expertise is going to
22 become very important because there are things out there
23 that are ubiquitous that people don't know are
24 problematic. If you go -- I was thrilled to see
25 glyphosate on that list. But if you go into, you know, to

1 my parks department in the city where I work or if you go
2 into my neighborhood and talk to my neighbors, people
3 think Roundup is safe because it's on the market. People
4 don't know that the cosmetics -- the parabens in the
5 cosmetics they're using or the phthalates in the cosmetics
6 they're using may be a problem for their health. So
7 there's also a degree of ignorance that's out there that
8 I'm hoping your expertise will be able to help fill in and
9 consider.

10 So we do have to both capitalize on our
11 communities and the public, but also look beyond that to
12 where they may not be as important at this point.

13 So I'll leave it at that. Thank you.

14 CHAIRPERSON MORENO: Thank you.

15 One more person. Rebecca Sutton.

16 Are you here?

17 And, Rebecca, you're with the Environmental
18 Working Group?

19 DR. SUTTON: Yes.

20 Thanks to the Panel for this opportunity.

21 Can you hear me?

22 So, yeah, my name is Rebecca Sutton. I'm a
23 scientist with Environmental Working Group. We're a work
24 research and advocacy nonprofit, and we do our own
25 biomonitoring studies on a small scale.

1 So two chemicals that I wanted to highlight for
2 you guys as candidates for biomonitoring are ones that
3 you've got a good bit of background by now. But they are
4 the alternatives to the Teflon chemical, and alternatives
5 to the PBDE fire retardants.

6 So you probably know that U.S. EPA pressure has
7 led Dupont and seven other companies to promise to phase
8 out PFOA, the Teflon chemical, by 2015. The EPA Science
9 Advisory Panel found this one to be a likely human
10 carcinogen. And there are a lot of great studies now on
11 people showing that there are risks for health effects for
12 this particular chemical.

13 So industry is now touting a new substitute for
14 perfluorohexanoic acid, also known as C6, as a safe green
15 alternative.

16 Now, there's very little toxicity data on this
17 chemical. But we do know that it's definitely very
18 persistent in the environment. And one of our studies on
19 umbilical cord blood found that this chemical can cross
20 the placenta and therefore contaminate children before
21 they're even born.

22 So we would definitely encourage you guys to take
23 a look at C6 and other Teflon alternatives because
24 production is going to increase dramatically over the next
25 few years and so we would expect exposure to as well.

1 Now, the other chemicals that I wanted to talk
2 about are the ones that were just highlighted in the OEHHA
3 presentation on expert advice. Those are the alternatives
4 to PBDEs.

5 Now, as PBDEs are starting to be used less, in
6 part because of the California bans, we're expecting a lot
7 of these other alternatives to get used more. And we know
8 from biomonitoring data that already exists that PBDEs
9 tend to be in higher concentrations in young children as
10 opposed to adults, and that's perhaps because young
11 children are more exposed to household dust, which is
12 contaminated with these chemicals. And then they got the
13 more hand-to-mouth contact.

14 So we'd like you guys to consider the PBDE
15 alternative chemicals as really important ones to start
16 biomonitoring, because we want to see if we start to
17 accumulate those chemicals in bodies with greater use in
18 our consumer products.

19 CHAIRPERSON MORENO: Well, thank you.

20 All right. With that, I believe we're going to
21 adjourn for -- well, it's 12:25. And so does Panel have a
22 recommendation?

23 PANEL MEMBER BRADMAN: Do we respond at all to
24 any of the comments?

25 CHAIRPERSON MORENO: That's up to the Panel.

1 Does the Panel mind sticking around and
2 responding to some of the comments then?

3 PANEL MEMBER QUINT: I feel like I should defend
4 myself against wanting toxicity data.

5 I truly am of the mind that chemical process that
6 show toxicity -- you know, my whole career has been built
7 on early warning of chemical hazards. So I don't want you
8 to misinterpret my question about, you know, do we have
9 toxicity data for Gail's list as being that I need to have
10 hard core-data on every chemical. That certainly is not
11 my -- have no fears. I am not of that mind-set. So I
12 just wanted to make that clear.

13 CHAIRPERSON MORENO: Okay. Other comments?

14 PANEL MEMBER BRADMAN: I just have a couple of
15 responses or comments or thoughts.

16 One, Davis's comments about the important of
17 looking at pregnant women and children. It seemed like
18 one of the priorities that came up in several of the
19 surveys was neurodevelopmental toxins and reproductive
20 toxins. And that kind of behooves sampling, you know,
21 around those age points during pregnancy and prenatally
22 and early postnatal. So I'm going to keep harping on
23 that, I think, on my participation here.

24 And then also his emphasis on the fact that this
25 is a public health initiative and that there's an

1 opportunity here to address potential health issues if we
2 just looked at the neurodevelopmental issues that are
3 going on. And with children in our society right now, you
4 know, even a small reduction in cost that might be related
5 to environmental exposures is going to dwarf the cost of a
6 program like this.

7 So I think we should really be thinking ahead and
8 what those benefits may be, even if they're difficult to
9 quantify.

10 And then just to underscore -- is it LaDonna --

11 MS. WILLIAMS: Yes.

12 PANEL MEMBER BRADMAN: -- Williams' comments.

13 You know, I think what she's saying is so important, that
14 there can often be a -- you know, if you were an
15 anthropologist coming down and looking at this room or
16 often how decisions are made, you would come up with a
17 different understanding of what public participation is
18 than if you perhaps read the words in our paper. And I
19 experience that in our own research studies and, you know,
20 outside of this forum or outside the many forums. And I
21 think your comments are really important about how to
22 engage communities and how to have that input.

23 And just to emphasize too that this kind of
24 formal Panel meeting is a one-day event. But I think
25 there's going to be other opportunities for people to

1 provide input. And in an eight-hour day often we only
2 have three or four minutes to address these issues.

3 And then also, on a specific comment, you had
4 some concerns about some of the chemical lists not
5 reflecting the exposures that you felt are being --
6 occurring in some specific communities. And if you could
7 pinpoint chemicals that you think should be there that
8 aren't, you know, I think this whole process is meant to
9 gather that kind of information.

10 That's all I have to say.

11 CHAIRPERSON MORENO: Yes.

12 PANEL MEMBER McKONE: A quick follow-up.

13 I agree. I think this -- there are two
14 dimensions I think we're working in. One is to capture
15 sort of large trends. But I think the other challenge is
16 not to miss things that happen, like we say, at the tails
17 of events, that there are -- I mean the case study we
18 always use in risk assessment is you'll never see people
19 being killed by grizzly bears. Although they are. If you
20 just look at national data, you have to go into where
21 grizzly bears interact with people. And I think the same
22 thing comes up with toxic waste and other of these issues.
23 We miss those if we just look broadly. So we have to
24 figure out how to get into these two dimensions.

25 I do want to mention, you know, on the radiation

1 issue, there actually are -- in addition to teeth, a
2 number of years ago there was an excellent biomarker of
3 cumulative radiation exposures developed. I believe
4 Lawrence Livermore Lab there were a team of scientists
5 that showed that you could use chromosome aberrations.
6 And they calibrated it to be a lifetime dosimeter. So
7 rather than look for one radio nuclide, you could look at
8 cumulative dose from a blood sample. So if somebody wants
9 to explore a radiation biomarker, there was -- and this is
10 right now the best there is, and it's been improved I
11 think since about ten years ago this first came out.

12 I should comment -- you know, on the Reference
13 Man, I know that's a terrible name, to call that document
14 the Reference Man. But I've spent a lot of time working
15 with that document. If you look inside of it, they
16 actually -- it was put together by the International
17 Commission on Radiological Protection. And they did a
18 world survey of the human population to develop that. And
19 it includes women and men and children, and it has
20 distribution of all attributes of the human body - organ
21 mass, breathing rates - for both -- you know, both men,
22 women, and children with age variations. It's actually
23 quite a remarkable document. It's just a terrible name.
24 We're trying to get them to change it to the reference
25 human.

1 MS. WILLIAMS: When did you last read it?

2 Because my understanding, we've been working on a national
3 initiative to change Reference Man because it does not
4 include pregnant women, infants, even --

5 PANEL MEMBER MCKONE: Yeah, the CDC -- but the
6 CDC, then that's something they should work on, because
7 there is the -- what's called the Reference Man manual was
8 actually issued in 1975 by the international body that
9 looked at the entire human population, you know. As a
10 matter of fact, one of the complaints about applying it in
11 the U.S. is that it was biased towards smaller people for
12 some things because it looked at the world average instead
13 of looking at a nation.

14 But in addition, the U.S. EPA has an excellent
15 document, which also -- the Exposure Factors Handbook,
16 which again looks broadly across ages, genders, different
17 racial and ethnic groups.

18 So no one today should be focusing on the wrong
19 person as a default. There really should be broad
20 characteristics of the population.

21 MS. WILLIAMS: Well, when agencies use it, they
22 do use it within that small parameter of comparison and
23 that's where the problem lies.

24 CHAIRPERSON MORENO: Dr. Culver, do you have a
25 question?

1 PANEL MEMBER CULVER: Maybe just wanted to
2 reassure our colleagues from CalOSHA that their interests
3 and concerns will certainly be important to me. I began
4 in 1953 in California Department of Public Health doing
5 biomonitoring of worker exposure to organophosphates. And
6 I have continued in a career in occupational medicine and
7 environmental health ever since.

8 CHAIRPERSON MORENO:

9 MR. McALLISTER: Good.

10 CHAIRPERSON MORENO: All right. Other comments
11 from the Panel?

12 No?

13 Okay. Well, it's 12:30. And we could break for
14 45 minutes or an hour. Does the Panel have any
15 preference? If we break for 45 minutes, we get back a
16 little sooner.

17 Okay, an hour. We'll be back at 1:30.

18 I also want to remind the Panel members that we
19 are still operating under the Bagley-Keene Act. So please
20 refrain from talking about business during your lunch. I
21 think you'll have a better lunch if you talk about the
22 weather or sports or something else anyway.

23 (Laughter.)

24 (Thereupon a lunch break was taken.)

25

1 AFTERNOON SESSION

2 CHAIRPERSON MORENO: Let me get started again.

3 Welcome back.

4 Before I move into the afternoon portion of our
5 agenda, I just want to request that anyone that has a PDA,
6 some people call them Blackberries, if you have one my
7 suggestion is that either turn it off or reset it so it
8 doesn't synchronize for the rest of the afternoon, and
9 we'll have less disruption of the microphone system. I
10 think I was guilty of that this morning. But I just
11 turned mine off altogether.

12 (Laughter.)

13 CHAIRPERSON MORENO: All right. We have a couple
14 things on the agenda that we had planned for this
15 afternoon till 2:30, 2:45. One was to continue discussion
16 regarding the comments from the public from this morning,
17 but also to actually begin discussion among the Panel
18 members of considering recommendations to the program to
19 add chemicals to the designated chemical list.

20 And I'll ask the Panel members now if there are
21 any further comments or need for a discussion on public
22 comment that was offered this morning? Any additional
23 comments or anything you guys want to share from public
24 comments that were provided this morning?

25 Okay. There doesn't appear to be so.

1 Okay. So we can move into -- what we would like
2 to do now is to begin a discussion on recommendations
3 including chemicals to add to the designated chemical
4 list.

5 A couple of goals here. One goal would be to
6 provide the Panel members an opportunity to discuss
7 recommendations to add to the designated list. And those
8 would be recommendations that would need to be consistent
9 with criteria established through California statute. And
10 then the other opportunity would be to have a discussion
11 over what additional information the Panel feels they need
12 before they can make recommendations.

13 Did I get that right?

14 PANEL MEMBER SOLOMON: Also, can I ask a
15 question, maybe? Or after --

16 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
17 BRANCH CHIEF ZEISE: Well, I mean here at this point if
18 you would like us to do -- if you wanted to suggest some
19 action items or follow-up work to help with this
20 discussion. Another possibility with respect to
21 designated chemicals, if you find you need to postpone
22 actually any recommendations till after discussing what we
23 heard back on criteria, that's fine too.

24 The final thing is that we expect that this
25 agenda item will be recurring on agenda. So if you find

1 you don't feel comfortable about making a recommendation
2 today for adding to the designated chemical list, we can
3 certainly consider it at your next meeting.

4 CHAIRPERSON MORENO: Okay. Thank you.

5 Gina.

6 PANEL MEMBER SOLOMON: And so just a
7 clarification. Are we then not talking about which of the
8 designated chemicals we would pull out as priority
9 chemicals that's not part of this agenda item, it's just
10 adding to the designated chemicals list?

11 CHAIRPERSON MORENO: Right, that's the purpose of
12 this discussion.

13 Who would like to begin?

14 Sure.

15 PANEL MEMBER LUDERER: I would just like to kind
16 of just sort of to say that since the designated chemicals
17 list really -- this is sort of the universe of chemicals
18 from which the priority chemicals will be chosen, that I
19 think that it's important to be broad and inclusive with
20 the designated chemicals list, and that this is really --
21 I think that in the future that this list may really be
22 used as kind of a watch list. So even those chemicals
23 that do not end up on this list being chosen to be
24 monitored immediately and become part of the priority
25 list, these in some ways is the opportunity for the Panel

1 and the public to provide input about chemicals that we
2 think are important now and maybe becoming more important
3 in the future and that agencies and public health
4 practitioners need to be keeping an eye on kind of as we
5 go forward.

6 So I guess I'm sort of making a plea to be
7 inclusive and broad in the designated chemicals list.

8 CHAIRPERSON MORENO: Dr. Culver.

9 PANEL MEMBER CULVER: It seems to me that for any
10 chemical that we recommend we should also recommend that a
11 documentation be prepared for that chemical that would
12 have the information available, so that we have a starting
13 point for communication with the general public.

14 CHAIRPERSON MORENO: Thank you.

15 What kind of information would be in that
16 documentation?

17 PANEL MEMBER CULVER: The chemistry, physical
18 properties including the vapor pressure. Whatever
19 biological information there is that might be
20 toxicokinetic in nature. If there are animal studies or
21 in vitro studies that have been done. So that one can
22 take a look at the chemical and really know as much as
23 possible about it at the time it goes on the list.

24 CHAIRPERSON MORENO: Gina.

25 PANEL MEMBER SOLOMON: I think that's a great

1 idea, and would also add that it would be helpful to have
2 as much information as possible about the chemical's use
3 and what it's used in and what it -- perhaps what
4 industries it's used in, anything that we can find out
5 about that, so that we might begin to think about
6 predicting patterns of exposure, who might be sampled for
7 that. And sort of maybe, if possible, sort of a brief
8 justification for why the chemical was added to the
9 designated chemicals list. In other words, this chemical
10 was chosen primarily -- you know, for the principal reason
11 that... whatever.

12 PANEL MEMBER KAVANAUGH-LYNCH: I might suggest --
13 well, I actually have a question about the criteria and
14 the legislation, because it's a little unclear to me. The
15 last three criteria it seems to me we can't even use at
16 this point. Well, some of it we can. But the
17 availability of adequate biospecimen samples and the
18 incremental analytical cost to perform the biomonitoring
19 analysis, we don't have the -- you know, we don't have any
20 specimens at this point. We don't have information on
21 costs. And I think even the fourth one is a bit
22 problematic.

23 But to the extent that we add things to the list,
24 I think we should be referencing which one of these
25 criteria we're using.

1 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

2 BRANCH CHIEF ZEISE: The criteria you're referring to are
3 under Tab 2, just after the -- because there's a second
4 page, if people want to refer to them.

5 PANEL MEMBER KAVANAUGH-LYNCH: Yes.

6 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

7 BRANCH CHIEF ZEISE: So --

8 CHAIRPERSON MORENO: Could I just make one
9 comment.

10 I believe the way the statute's written is
11 that -- let's see, it says, "The Panel may recommend
12 additional designated chemicals" just in the following
13 criteria. It doesn't say we had to meet all those
14 criteria though, correct?

15 So there may be some problems with number 4 and
16 some of these -- there is no currently available
17 biomonitoring analytic assay mechanism, but it does meet
18 several of the other so we could include that.

19 PANEL MEMBER QUINT: So we don't have to use all
20 of these criteria; it's just it can meet any one of these
21 criteria; is that correct?

22 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

23 BRANCH CHIEF ZEISE: That's our understanding.

24 PANEL MEMBER QUINT: That's your understanding?
25 Okay.

1 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

2 BRANCH CHIEF ZEISE: Right.

3 PANEL MEMBER QUINT: I read that differently.

4 PANEL MEMBER BRADMAN: Also, with respect to
5 number 4, I forget the actual number, but isn't there a
6 law that would give the state the authority to ask for a
7 method from a producer? So just a reminded that if there
8 aren't analytical methods, there can be a request to
9 develop that method with the cost burden not on the state.

10 PANEL MEMBER WILSON: And it was AB 289.

11 PANEL MEMBER BRADMAN: AB 289.

12 PANEL MEMBER WILSON: Yeah.

13 CHAIRPERSON MORENO: I'm sorry. Which bill was
14 that?

15 PANEL MEMBER WILSON: AB 289.

16 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:
17 This is from several -- I guess a couple years
18 ago that this bill passed.

19 OEHHA DIRECTOR DENTON: Just to clarify. Isn't
20 it if there's an existing method that you could make the
21 request. Because you were saying if you want to develop,
22 that could be required.

23 PANEL MEMBER BRADMAN: That's my understanding.
24 Maybe we should get a copy of that bill at some point.

25 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

1 BRANCH CHIEF ZEISE: We will follow up and get a copy of
2 that out to you all. And we'll also ask for our counsel
3 to take a look at it.

4 Could I just ask with respect to documentation.
5 We have a small staff. And I know how much time it is to
6 do a really detailed hazard identification document. So I
7 want a little bit of guidance on parameters. And perhaps
8 if you could let us be a little flexible over how much
9 information we get, because it can be very time consuming
10 to get both exposure and use information as well as put
11 together a good description of the toxicological data. So
12 if we could do it in more summary justification form, I
13 think we can prepare that kind of documentation, as well
14 as getting the kind of physical, chemical attributes that
15 it sounds like you would like in there, like vapor
16 pressure and so forth.

17 PANEL MEMBER CULVER: We have to remember that
18 you're going -- once a chemical gets put on that priority
19 list, it's going to cost a lot of money to do the study --
20 or to do the sampling and the analysis. We ought to be
21 prepared to spend a little bit of money to put together
22 the rationale for putting that piece of chemical into the
23 system. This has to be -- the selection of chemicals, the
24 identification of the chemicals that are going to be --
25 has to be done thoughtfully. It should not be

1 cherry-picking. It's just got to be according to
2 identifiable rationale.

3 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

4 BRANCH CHIEF ZEISE: I agree completely. And I think I'm
5 just asking -- because sometimes for a single chemical our
6 assessments can take of order two person-years to put
7 together per chemical. So it doesn't sound like you're
8 looking for that detailed; is that correct?

9 PANEL MEMBER CULVER: As much as is available.
10 As much as you can.

11 PANEL MEMBER SOLOMON: Can I clarify what I was
12 looking for. Because what -- what I was actually
13 requesting might even be met with a table with the listed
14 chemicals and then some columns with some information
15 about those chemicals. Or, you know, if it were to be a
16 document on chemical, I was thinking about, you know,
17 something quite brief that would more just provide the
18 justification for why this chemical as opposed to others.

19 And then, you know, obviously if we do our -- you
20 know, potentially moving a chemical up to a priority list
21 status, I mean at that point, I agree that there might be
22 need for a more in-depth analysis of it. But certainly,
23 you know, I think that there is this sort of rational
24 step-wise fashion, whereas it gets on the designated
25 chemicals list, we should know something about it and why

1 it's there and have that justification available, and then
2 more if we put it on the priority list obviously.

3 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

4 BRANCH CHIEF ZEISE: And then again, I also wonder if
5 you're also considering putting families of chemicals on
6 in addition to. And if there would be an identification
7 of a family, that we perhaps could consider the family
8 together as part of a justification.

9 PANEL MEMBER CULVER: It depends on what you mean
10 by family.

11 PANEL MEMBER MCKONE: Well, like if you said
12 siloxanes, that could be -- there's thousands of them.
13 Because, right, there's an alkane chain and there's -- I
14 mean how many alkanes can you combine on a ring? It's
15 going to be huge.

16 Dioxins sort of -- dioxin-like PCBs, maybe that
17 works. And PAHs. But I do worry there are families of
18 chemicals that get very large.

19 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

20 BRANCH CHIEF ZEISE: So that's up to the -- in terms of
21 what your recommendations are, when those kinds of
22 situations are at hand, you might want to be clear about
23 the particular family you're recommending.

24 PANEL MEMBER QUINT: I think that most of the
25 things that we are thinking of designating, you know, for

1 most of them there's established toxicological data. So I
2 don't see recreating a document on that necessarily as
3 being something that we would need. I think referencing,
4 you know, documents that exist that support the endpoint
5 of concern or, you know, for that part of it. But I think
6 other things are of concern, you know, how many people
7 are -- is it in consumer products? Some of that
8 information for our purposes is much more important, and
9 maybe more difficult to find. We find use is the most
10 difficult piece of information among the CalOSHA Health
11 Advisory Committee for setting PELs. And in the process
12 right now developing these types of documents. And use is
13 the limiting factor for most of this. And I think it's
14 probably one of the leading factors for us, because we
15 want to choose things that people are actually being
16 exposed to.

17 So I think the emphasis is a little different
18 than the hazard identification documents that we're used
19 to seeing from OEHHA in that respect, where the use is
20 small and the tox data part is large, you know.

21 CHAIRPERSON MORENO: Dr. Wilson, do you have a
22 comment?

23 PANEL MEMBER WILSON: I think I'm just picking up
24 on that, Julia.

25 And so thinking about, Lauren, your presentation

1 just this afternoon, possible chemicals presentation, and
2 then from Peter's comments yesterday.

3 So what I heard -- what I heard you say in your
4 presentation was that sort of addressing this problem that
5 Julia is raising of really getting good information on
6 what's actually used in the state through CUPA, the toxic
7 release inventory through DPR, Prop 65, these various
8 mechanisms we have, there are huge data gaps. And there
9 are, you know, problems like with the CUPA, it's all in
10 you know, shoe boxes basically in 57 of the counties. And
11 so we have really very poor information on just basic
12 public health information on the chemicals used in
13 processes and products, I think is those two main
14 categories.

15 That means that we have huge data gaps on
16 occupational exposures in the state. So that says to me
17 we have to do some basic work on fixing those -- you know,
18 closing those gaps to get a sense of how to prioritize
19 occupational exposures, perhaps as something that happens
20 before we do biomonitoring work around occupation, fixing
21 those basic sort of -- you know, tracking industrial
22 chemicals and chemicals used in products that are used
23 professionally, for example. And that's the majority of
24 products actually. In many cases they're used
25 professionally.

1 Where we do have information, and as you put here
2 in the binder, is through our Department of Pesticide
3 Regulation. We have good information on use, on volume,
4 on distribution, and on -- or dispersion I guess is what
5 came up yesterday from John from CDC. You get good
6 information on use and dispersion, volume. That's a
7 really good place to start.

8 And so it seems to me that if we're going to rely
9 today on information that we have today going on your
10 track 2, which is, you know, identify -- broadly find out
11 what we're exposed to, work through chemical lists and
12 identify bad actors as the basis for biomonitoring
13 prioritization. We really can only do that on the
14 pesticide side of things. So I'll put that out there.
15 Because our other -- our Prop 65 lists and some of the
16 others don't track actual volume and use.

17 So that's a comment, if you want to respond to
18 that. And then I'll follow up.

19 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
20 BRANCH CHIEF ZEISE: Well, I think what we'd want to do is
21 to try to spend a little bit more staff work seeing what
22 other kinds of information sources we might have on that.
23 You know, some other things do come to mind, like the USGS
24 survey information on water contaminants. We could check
25 with ARB with respect to certain product uses. There's

1 some additional staff work that could be done to see what
2 other kinds of information sources we might have. We did
3 a start on that. But our main focus was getting their
4 opinion about things. And if they also identified other
5 references, we provided them to you.

6 But there is more leg work that can be done. And
7 I don't feel comfortable actually answering the question
8 now.

9 Michael.

10 PANEL MEMBER WILSON: Can I follow that up?

11 CHAIRPERSON MORENO: Yes, go ahead.

12 PANEL MEMBER WILSON: I think -- thanks for that.
13 I think, yeah, there are other things that could be done
14 there. And it's possible that California will have some
15 form of product or, you know, registry of some kind. So
16 it's possible that in the next couple of years we may have
17 better information on that. But I think it's -- you know,
18 the information that you provided from DPR was
19 illustrative in that it showed that the -- almost without
20 exception, the large volume pesticides used in California
21 are not included on the CDC list, and might be candidates
22 for us to think about. And that may occur as well on the
23 industrial and product side when the information gets
24 better.

25 CHAIRPERSON MORENO: And there's a couple

1 comments over here.

2 PANEL MEMBER SOLOMON: So, just to build on what
3 Mike just said. You know, I think that it's helpful to
4 think about the purpose of the designated chemicals list.
5 And we're starting with the CDC list as sort of the
6 foundation. And I actually for one think the CDC list is
7 pretty darn good. It's got a lot of good stuff on it.
8 When you look at it through -- I'm sort of looking at it
9 through two lenses where -- and, you know, from our
10 conversations yesterday and today, I think other people
11 are too -- where we're seeing some gaps. One is if we
12 look at it through a California lens where we start
13 seeing, just as Mike said, you know, the pesticides, for
14 example, glaringly -- you know, a lot of things that are
15 heavily used here in California are missing from the CDC
16 list.

17 And then from the perspective of sort of looking
18 around the next curve at the emerging contaminants that
19 are known replacements of some of the known, you know, bad
20 actor chemicals, for want of a better term, that are, you
21 know, already sort of being watched by a number of the
22 state agencies, NGOs and others, scientists.

23 And so, you know, if we could sort of look at
24 those two categories, the, you know, what is it that's
25 different here in California as opposed to nationally on

1 the pesticide front and maybe on the sort of industrial
2 front. Though I think there might be fewer differences
3 there. And then what are the emerging chemicals that we
4 might want to add. And I think we actually heard about a
5 lot of those in the presentations this morning. And my
6 suggestion might be that we could make some -- you know,
7 consider as a committee making some recommendations around
8 really looking through the PUR, figuring out which of the
9 high-use pesticides in California are missing from the CDC
10 list, doing that sort of systematically. And then, you
11 know, we'll have to come up with some cutoffs, but adding
12 as a group, you know, a number of pesticides.

13 And then as a second group considering adding
14 some of the flame retardants that we talked about this
15 morning, and perhaps some other categories of chemicals
16 that also have been coming up, like some of the siloxanes
17 and others, that we really think are -- you know, if this
18 thing is starting optimistically in 2011, what are going
19 to be the big things that we need to be thinking about in
20 2011? And in my mind those are the ones that should be on
21 the designated chemicals lists now.

22 CHAIRPERSON MORENO: Okay. Before we go on, just
23 a couple things that I want to point out what I'm
24 hearing -- I heard a couple things. One is that Dr.
25 Solomon recommended a table to try to put down the

1 criteria that we're using in each of those, for example,
2 columns. And I'm trying to imagine each of those columns.
3 Across the rows would be the chemicals or families, and
4 the columns would be the criteria. It could be
5 legislative criteria. It could be other considerations.
6 But we can check off what's the criteria.

7 Also, the information that Dr. Culver was asking
8 for, it could also be included in this table as well, with
9 reference to the reports that are available and not having
10 to duplicate all that.

11 And the other thing I was hearing was -- this is
12 almost like a -- it sounds kind of like a framework which
13 can help us get through this process, looking at gaps in
14 the CDC's list, things that pertain to California that
15 didn't make the CDC list. Then also the emerging, which
16 wouldn't necessarily show up on either list, we have to
17 really put some thought into that.

18 So these are the kind of things that I'm hearing
19 so far.

20 Okay. There's a couple comments on this side.

21 PANEL MEMBER LUDERER: Just one thing that I
22 wanted to kind of add I think that we should keep in the
23 back of our minds in thinking about the PUR might be that
24 this is -- these are the high volume pesticides that are
25 widely, you know, used either in terms of acreage or

1 pounds that are applied. But one thing we might miss if
2 we focus solely on that is household uses. And
3 particularly since we're talking about exposure to the
4 general population, I think that's something that we need
5 to try to get information about, you know, things like
6 treating pets for fleas or spraying in the house. So what
7 are the chemicals that are most dominantly used in those
8 kinds of applications, which might not necessarily be the
9 high volume ones.

10 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
11 BRANCH CHIEF ZEISE: Sure. And we can take a look at
12 that. And in terms of the survey, we received many
13 comments on that particular issue. So there is a lot of
14 concern out there. So we can follow up and try to see if
15 we can get information on extent and volume in specific
16 chemicals.

17 PANEL MEMBER LUDERER: Particularly because of
18 concerns about children and exposure to pregnant women, I
19 think that's very important.

20 And then one other, I think in terms of the data
21 gap, a separate class of chemicals, which I think did come
22 up in the surveys that you presented data about this
23 morning and that also came up in the conversation
24 yesterday, was the issue of pharmaceuticals as
25 environmental contaminants and whether we would want to

1 recommend adding some of those to the designated chemicals
2 list. And, you know, I think some of the categories that
3 were talked about yesterday that are particularly
4 important are some of the antibiotics that might be used
5 in the agricultural industry, hormone reactive compounds,
6 things like oral contraceptives.

7 PANEL MEMBER WILSON: I'd like to pick up on
8 that. I think it's a -- I was about to comment similarly
9 that the thing that makes me uneasy about simply using
10 volume is that it isn't necessarily the most toxic
11 substance that's highest volume. And it maybe not -- it
12 also may not get at the question of exposure, which I
13 think you're addressing.

14 And so the ARB did publish a consumer products
15 survey that they conducted in 1997. And at that time they
16 reported about six million pounds of non-selective
17 herbicides and defoliants used in consumer and commercial
18 products every day in California. It was the second
19 highest consumer and commercial product sold in the state;
20 so certainly a pertinent issue. And the first was general
21 purpose cleaners, at 147 million pounds per day.

22 There was also something like eight tons of hair
23 spray in here too, but --

24 (Laughter.)

25 PANEL MEMBER WILSON: So they have a -- there's

1 a -- actually, no, there's 52 tons. Sorry. So they have
2 information -- I think you know ARB does have some
3 information there that could be really useful. But they
4 gathered that information really for its VOC content, you
5 know, for the VOC cap rules. I don't know to what extent
6 they really catalogued other active ingredients aside from
7 VOCs. And it is ten-year old data now.

8 Here's another thought. I think, you know, in
9 terms of just throwing something out there, that in terms
10 of -- I think what's useful would be, you know, doing
11 something that's unique to California and contributes in a
12 useful way as much as we can to this whole -- to the
13 science of biomonitoring. And I think it was raised in
14 the public comments earlier around whether we should
15 consider focusing on umbilical cord blood as the medium
16 that we use, as compared to trying to conduct a
17 representative sample of adults if we can only do 500.

18 So I'll put that out there for discussion,
19 thoughts.

20 PANEL MEMBER BRADMAN: Just one -- you know, I've
21 been trumpeting that we pay attention to pregnant women
22 and young children. One technical point though is that,
23 especially for lipid soluble compounds, you can sometimes
24 have non-detects in cord blood because of low lipid
25 levels, even though the fetus is getting exposed, because

1 there is transfer going across the placenta and then it's
2 getting reabsorbed in lipid tissue in the fetus. So
3 just -- you could look at umbilical cord and miss
4 exposures to the fetus. That would be more apparent if
5 you were doing a pregnant woman or an adult of
6 child-bearing age.

7 But I think that's an interesting proposal though
8 to consider, if we're limited, what population will we
9 focus on and what would have the most meaningful impact on
10 health --

11 PANEL MEMBER WILSON: Yeah, I guess the question
12 is: Would that make it of very little utility, those
13 limitations that you described? Would it not be worth
14 pursuing that?

15 PANEL MEMBER BRADMAN: I think it would depend on
16 the compound you're testing. That would be a real
17 rewriting of the program.

18 But I mean I'm intrigued by the idea of, if we
19 can only do 500 people, what's the best way to do that.
20 That may be a separate question though of what chemicals
21 to designate.

22 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

23 Could I just add a comment with respect to the
24 discussion that you've been having here.

25 It seems pretty clear that the quality and

1 quantity of data that we're going to have regarding
2 exposure is going to be really different for different
3 chemical classes. And I think it would be useful for us
4 to be able to work with maybe two or three of the Panel
5 members between now and, say, the next meeting on trying
6 to provide the data that you're requesting here in a form
7 that would be -- you would find useful as a panel. And I
8 don't know if the Panel members -- or, Dr. Moreno, if you
9 want to see if there are any volunteers among the Panel
10 that are interested. But we would find that very useful
11 to be able to work with at least two or three Panel
12 members on this process.

13 You know, for instance, like, you know, with the
14 flame retardants we know there's universal exposure. It's
15 ubiquitous here. We banned PBD -- we banned penta. But
16 because we have these flammability requirements for
17 furniture and polyurethane in California, we are going to
18 continue to have the highest volume of flame-retardant
19 substitutes of any state. And this is -- you know, unless
20 that flammability standard is reversed, this is
21 something -- we will not have quantitative data, but we
22 know everybody -- virtually everybody in the state will be
23 exposed to these new flame retardants, anybody who comes
24 into contact with furniture sold after 2005.

25 CHAIRPERSON MORENO: I think that's a good idea.

1 The work group would -- or this Panel would -- I hope it
2 would be clear to the work group what it is that the Panel
3 needs as a product and what responsibilities the work
4 group has so they can actually make some decisions to
5 bring back to the Panel.

6 So how does that sound?

7 PANEL MEMBER KAVANAUGH-LYNCH: You know, it seems
8 to me that we maybe need to have the criteria discussion.
9 Because generally when you're collecting information to
10 help you make decisions, you collect information that are
11 relevant to the criteria you've selected. And, you know,
12 I hate to have people running around collecting
13 information that then we end up not using.

14 CHAIRPERSON MORENO: Which criteria?

15 PANEL MEMBER KAVANAUGH-LYNCH: The criteria for
16 choosing priority chemicals.

17 CHAIRPERSON MORENO: Okay.

18 PANEL MEMBER KAVANAUGH-LYNCH: Either designated
19 or priority?

20 PANEL MEMBER SOLOMON: Designated.

21 CHAIRPERSON MORENO: Michael, were you talking
22 about a group that would focus on gathering information
23 for the purposes of coming up with a designated list?

24 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

25 Well, I think it would be for both -- for both

1 adding to the list of designated chemicals and also to,
2 you know, make sure that the quality and extent of the
3 information we provide that meet the criteria for priority
4 chemicals would be appropriate as well.

5 CHAIRPERSON MORENO: Okay. Well, I think this
6 Panel would need to come to some agreement as to how much
7 we expect of the work group.

8 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
9 BRANCH CHIEF ZEISE: Well, what I'm wondering is -- I mean
10 I think we basically need a group that we can just reflect
11 ideas against, rather than having any kind of a formal
12 work group. It's useful to be able to have a group -- and
13 maybe, Michael, if this isn't what you were thinking, let
14 me know -- but to have a few Panel members that we could
15 send some ideas to, have them reflect on it and get back
16 to us, so that it wouldn't be something that would
17 require, say, a series of work group meetings but more be
18 a peer review -- informal peer review mechanism for us. I
19 don't know if you were thinking of something --

20 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

21 I was thinking that that would be useful. But
22 also just to make sure that when the information would
23 come back to the Panel, that the Panel members obviously
24 would be able to speak amongst themselves in a small group
25 in terms of what might be reasonable to bring back to the

1 full Panel, something that would be within the bounds of
2 the Bagley-Keene Act, but nonetheless I think -- I would
3 agree with Lauren initially that we'd like to be able to
4 have some informal peer review. But the Panel members
5 themselves may want to talk about these issues as well.

6 PANEL MEMBER WILSON: You know, I mean as we've
7 had the discussions over the last two days, this is --
8 this same thought has occurred to me, that this is
9 something that we need to do. And that sort of getting
10 Marion's point, it's an iterative process in a way, in
11 that we're trying to meet the criteria, one of which is a
12 question of exposure. We then go to try to identify
13 exposure data and find these huge gaps, and find that we
14 can only meet the criteria in one particular subsection of
15 substances used in the state.

16 So as we explore it, I think this does need to
17 happen, that we need to have -- it's going to be an
18 iterative process. And that sounds like a very useful
19 proposal to me

20 PANEL MEMBER SOLOMON: I'd like to see us really
21 grapple with how far we can push some real decisions today
22 in our group in the full Panel discussion, because I do
23 think that even just having -- you know, even just really
24 trying to see if we can come to some decisions will make
25 us grapple more -- you know, in more depth with the

1 criteria, and I think will be useful, whether or not we
2 succeed in making decisions.

3 I actually have a question about exactly how we
4 make decisions, like whether I should be making formal
5 proposals or whether we're going to be taking votes or
6 looking for consensus, exactly how we do this. So I
7 apologize.

8 And then I also -- Mel, in response to your
9 comment, which I think is a really important one about the
10 criteria, I'd sort of tried to propose that we look at the
11 criteria and the legislation and add to the one being --
12 you know, are there chemicals that are not on the CDC list
13 that are -- where we have good information that they're
14 used, you know, to a significant -- you know, and I don't
15 quite know how to get the words right -- but, you know,
16 heavily used in California. And that would specifically
17 apply to pesticides, where we have the PUR to go with.
18 And so I really wanted to look at the pesticides that are
19 missing from the CDC list and try to backfill that.

20 And then the second criteria and that I was
21 proposing to add would be emerging chemicals where we have
22 good information that they are coming on to the market to
23 replace other widely used chemicals and where, you know,
24 we have some reason to believe that there may be a problem
25 with that category of chemicals. And there I was thinking

1 mostly about the flame retardants. But there are other --
2 obviously D5 in dry-cleaning is another example where we
3 know that because of regulations specific to California,
4 the market is going to be driven toward a chemical that
5 has some known health issues. And so, you know, to be
6 trying to get ahead of that.

7 So those two criteria about the chemicals that
8 are heavily used in California and the ones that are going
9 to be emerging in California would be maybe ones to add to
10 the existing.

11 PANEL MEMBER QUINT: Well, those seem to be
12 covered in number 1, aren't they? Potential for exposure?

13 PANEL MEMBER SOLOMON: Yeah. But it doesn't talk
14 about places like emerging or California-specific
15 exposure.

16 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

17 BRANCH CHIEF ZEISE: Well, that -- that potential -- I
18 thought that, you know, if we look at the designated
19 criteria, they're pretty broad. And so if we can focus on
20 these specific issues that are a concern, I do think that
21 the criteria cover them. But we'll keep in mind that
22 that's what you're really concerned about, and try to work
23 through some specific tasks that address your concerns
24 with respect to the specific chemicals.

25 PANEL MEMBER SOLOMON: Assuming other Panel

1 members agree.

2 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

3 BRANCH CHIEF ZEISE: Yeah.

4 CHAIRPERSON MORENO: I would just add, I would
5 agree with that. I think the criteria for adding to the
6 designated list is set, and I think your concerns are
7 addressed in one -- and that the potential exposure could
8 be interpreted as to emerging chemicals. The public and
9 specific subgroups would refer to a special consideration
10 for California. And I think the spirit of the law was for
11 California. And so I think you're covered there.

12 But I think it's important to keep that as a
13 special consideration that we need to continue to bring up
14 as we go through this process. Because we can't
15 prioritize something that's not on the list. So we first
16 had to put it on the list -- on the designated list.

17 PANEL MEMBER BRADMAN: I think, Gina, you were
18 just saying though as a first crack, let's say, 1) I use
19 pesticides that are not on CDC, 2) likely emerging
20 compounds. And you're asking the staff to make a list of
21 those. Is that what you're asking for so that then we can
22 evaluate them? Or are you just proposing we put them on
23 the designated list right now?

24 PANEL MEMBER McKONE: Let me just add another
25 thing. I actually think we should go ahead and try and

1 suggest at least some -- and, again, in the spirit that
2 Gina was suggesting. And not that this would be the final
3 list of designated chemicals. But let's say some very
4 specific things. I think -- there's been a lot of
5 agreement that we will see, you know, a new flame
6 retardant's coming in to Cal -- unless somebody repeals
7 the rule about furniture that California has that's
8 unique, we're going to be the place where there will be
9 the highest use of flame retardants in furniture. So we
10 could even begin to make a list of possible chemicals that
11 you might want to --

12 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

13 That's already happened. And we do know what the
14 two top flame retardants are. It's FireMaster 550 and
15 chlorinated tris. And there are a bunch of others that
16 could be used as well. But for sure those two are used in
17 large quantities in California.

18 PANEL MEMBER MCKONE: And of course we're not
19 prioritizing. So we could in a way just suggest those
20 beyond the list as a designated chemical.

21 An additional -- and then the pesticide that we
22 agree on, that's easy to do. You just go through the PUR
23 and see where we're higher. So we could do that one.

24 The other one -- another one I would add to this
25 though is plasticizers -- the new plasticizing agents,

1 because phthalates are going to be pushed out.

2 And possibly changes in building materials. We
3 know that some construction -- now, that I'm -- I don't
4 think the Panel is -- I'm not personally. I know that
5 there are some changes in -- there's a lot more use of the
6 market -- one thing I'm aware of is the market in building
7 materials has gone from plywood to oriented strandboard
8 And there's just different compositions. That actually
9 could be something to look at, what comes out of oriented
10 strandboard that would be different. Clearly there's
11 less -- there's less formaldehyde in oriented strandboard,
12 but there is some sort of an oxyresin that's in there. So
13 if we know what's being used, that would be...

14 The other thing is how to get a handle on
15 consumer pesticides. And, again, there's certain areas
16 where we know California would stand out. We have a
17 bigger problem with termites than a lot of the country. I
18 mean some parts of the country don't have problems with
19 termites because until the climate changes, they have a
20 cold enough winter that termites don't survive. So
21 whatever treats termites is more of a problem here and in
22 the southeast than it is in the north.

23 PANEL MEMBER WILSON: You know, on the pesticide
24 side that you raised, you know, I looked at that just from
25 this -- you know, just the PUR data. And it was -- you

1 know, there's a set of about 12 or so that are half a
2 million to a million pounds applied per year. And the
3 total applications haven't really declined or gone up over
4 the last ten years apparently according to what you gave
5 us in the packet. And so that seems like a good
6 suggestion in that only two or three of those appear on
7 the CDC list.

8 And with respect -- but what we don't know from
9 the PUR data that would be useful, and I don't think too
10 burdensome, would be to sort of have a basic sense of the
11 toxicity of the -- you know, substances applied at half a
12 million pounds or more in agriculture, you know, for
13 agricultural pesticides from this PUR list. And that
14 information -- I mean DPR collects that information, but
15 it's not here on these tables. This is just the use and
16 dispersion kind of information. But it would be important
17 to know I think if there's a high volume substance that's
18 also -- like metam sodium, for example, I think is a good
19 example -- a high volume substance that also has unique
20 toxic properties. It's on the Prop 65 list and it's
21 showing up in water; as a good example of something where
22 we have a little bit more information than what DPR
23 provides on these tables would be helpful. So just
24 getting -- trying to be more specific about that issue,
25 Gina.

1 And then the second one, I think, Tom, on the --
2 getting at this information on -- and that we've talked
3 about before -- on consumer use of, and maybe also more
4 casual use by workers of pesticides around homes and
5 commercial buildings, I think in requesting from staff to
6 follow up with the Air Resources Board on their consumer
7 products survey, if that has been updated since 1997. And
8 to what extent did they include information in that survey
9 on active ingredients aside from just the VOCs? I think
10 those would both be useful points of information.

11 PANEL MEMBER SOLOMON: On the pesticide issue, we
12 may actually have a little bit of a wrinkle, which is if
13 you use volume as a cutoff, the things that will pop to
14 the top are the herbicides and some of the fumigants.

15 When you actually look at the CDC data, they
16 actually have looked at quite a -- and a number of
17 herbicides. And the herbicides have tended to not appear
18 in a very high proportion of the population in biological
19 samples. But I think that there -- that was actually a
20 huge surprise to me. Atrazine in the Mid West, you would
21 think it would be in everybody's urine. And, in fact,
22 it's not. It's barely detected. And I think there may be
23 a couple reasons for that. One has to do with biological
24 half-life and the other has to do with food residues,
25 which I think Asa might be able to help here because he

1 knows this better than I do. But, you know, food seems to
2 be a fairly significant exposure driver. And it's the
3 insecticides and the fungicides that tend to be the food
4 residues. The herbicides you really don't find as food
5 residues.

6 And so you actually -- the correlation between
7 volume applied and likelihood that it will be found in
8 biological samples is not totally perfect. And so there
9 may be some that are actually not used in, you know, huge
10 amounts but still used more in California than in the rest
11 of the country that we might want to focus on. And they
12 wouldn't necessarily appear in that like top tier on the
13 use list.

14 And so we'll have to grapple with that a little
15 bit. I'm not totally sure how to deal with it. I'd love
16 input from Asa.

17 PANEL MEMBER BRADMAN: It's true. I mean more
18 and more there's a greater understanding that diet's
19 probably one of the primary pathways. I mean even in
20 Salinas where we're working, we're seeing a diet probably
21 being responsible for 30 or 40 or 50 percent of the total
22 exposure.

23 That said, you know, we find agricultural and
24 home-use pesticides in every home we look at. For
25 example, one is dacthol, relatively nontoxic. It's in

1 every home in the house dust. And it's not that heavily
2 used.

3 It's complex. I mean we think we know why it's
4 there because it's persistent. And some of these
5 chemicals are actually -- when they get indoors, they're
6 more persistent than what you would expect based on field
7 data.

8 So you start to see a picture that's complex
9 where you could have, you know, different layers of
10 information in terms of use, vapor pressure, you know,
11 likely to transport, environmental persistence,
12 persistence in the home. So it does get messy.

13 But, yes, I would agree that diet is a very
14 important source. And there actually might be an easy
15 source of data that wouldn't be too time consuming to look
16 at would be to look at USDA food residue data. And --
17 Tom's shaking his head.

18 But that's not to be specific to California.

19 PANEL MEMBER MCKONE: We're laughing because at
20 lunch we were talking about the terrible quality of the
21 food residue data. It's not representative and it has
22 neither longitudinal nor cross-sectional characteristics
23 sufficient to capture either kind of variations.

24 CHAIRPERSON MORENO: I might kind of share with
25 the Panel my thoughts so far what I'm hearing.

1 One is a question of how we make decisions. And
2 we do need to have that discussion. But for the time
3 being, one of the things we might consider would be some
4 consensus on a process as we go through this.

5 So for discussion I'd put out there that we might
6 consider a simple conceptual framework just to get us
7 focused and move forward, which would be two important
8 considerations. One is that we would give special
9 consideration to chemicals that are unique to California
10 that don't already exist on the CDC's designated list.
11 And then we also give special consideration to emerging
12 chemicals in the State of California, of course following
13 the statute criteria.

14 And then perhaps what we could do is look at --
15 I'm just throwing this out there -- is three groups of
16 chemicals. And one would be a tentatively designated list
17 of chemicals, which means the group today, for example, if
18 the group so decided -- the Panel decided that it would
19 want to throw out one or two families or chemicals, there
20 could be a tentative distinguished chemical list. And
21 that would be a tentative because we'll have other
22 opportunities to come and meet and review additional
23 information. But that would be the tentative list. And
24 that list requires more data that needs to be collected
25 from the work group. And would also begin working on

1 creating the documentation that was suggested on that --
2 on those chemicals. And that documentation included the
3 qualities, properties, where it can be found, what it's
4 used for, was it the toxic or otherwise public health
5 threat. And that would be the tentative group of
6 designated chemicals.

7 And then there would be chemicals of interest.
8 There's certain chemicals that continue to be brought up
9 here. But the group may not be ready to put it on a
10 tentative list that we want to suggest that would be the
11 chemicals of interest; again, requiring the work group to
12 come back with additional information and, if time allows,
13 start working on that documentation that describes that
14 chemical and why it's a chemical of interest and why the
15 group -- the Panel should consider moving it into the
16 tentative list of designated chemicals.

17 And then there's a third group, which is
18 chemicals that really are not of interest to this group,
19 for whatever reason. And we're not going to put too much
20 effort into that. And that really is every other chemical
21 in the world that we haven't discussed today.

22 So I'm just trying to throw out some ideas on how
23 we can move forward and accommodate all the -- I think the
24 ideas that were thrown out today.

25 Dr. Culver.

1 PANEL MEMBER CULVER: To what extent does this
2 process that we're going through need to be constrained by
3 our knowledge of the limitations of the laboratory and the
4 budgetary constraints? If we come up with a long list of
5 chemicals, is this relevant in view of perhaps problems of
6 carrying the laboratory determinations through?

7 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

8 I don't know how long this list is going to be.
9 And we certainly are subject to constraints in terms of
10 trying to provide documentation. As Lauren indicated
11 earlier, we don't have a lot of non-laboratory staff
12 working on this.

13 But with respect to the designated chemicals
14 list, the lab constraints are less of an issue than when
15 you actually make the recommendations for priority
16 chemicals from the designated chemicals list. So you
17 could add, you know, four or five families of chemicals
18 that might be -- may be ones that we could provide some
19 general information on that meet the criteria that you
20 were asking for in terms of physical-chemical properties,
21 general patterns of exposure, this sort of thing.

22 But in terms of the specific numbers of
23 chemicals, as Peter I think and Myrto alluded to earlier
24 today, it's likely to be on the order of somewhere like
25 two to three dozen at least initially chemicals that will

1 be -- that they're going to be looking at, chemicals or
2 chemical classes.

3 So, again, for the designated chemicals I don't
4 think you have to be so concerned about the laboratories.
5 But for that specific priority chemicals, that does become
6 much more of an acute issue.

7 PANEL MEMBER BRADMAN: So the designated
8 chemicals are a wish list and the priority chemicals are a
9 shopping list?

10 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:
11 Right.

12 PANEL MEMBER KAVANAUGH-LYNCH: My inclination
13 would be to throw anything on the designated list that
14 anybody anywhere has any possible interest in, and then
15 really start talking about the criteria for moving things
16 from designated list to the priority list. I mean
17 that's -- it seems to me that's where the bulk of our work
18 should go.

19 CHAIRPERSON MORENO: I have just one comment too.

20 Again, from the perspective of the wish list, I
21 think that would be appropriate. I'm wondering if -- is
22 it -- is there some drawbacks to creating such a large
23 wish list? Because we're going to be providing
24 recommendations to staff based on that wish list for more
25 information.

1 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

2 BRANCH CHIEF ZEISE: Again, we are a limited staff. So it
3 depends how big you're talking about. So I could see us
4 gathering information on specific families or -- but once
5 you start trying to get information on specific chemicals
6 if we have a long list, that could be a tremendous amount
7 of work.

8 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

9 And you are constrained I guess also by these
10 specific criteria, that we do need to be able to at least
11 provide documentation on one or more of the criteria that
12 will allow it to fit on the designated chemicals list.

13 OEHHA DIRECTOR DENTON: From my perspective --
14 you know, this is a very important element of this whole
15 project, is the selection of the chemicals; and it's a
16 unique period of time and I don't see it being recreated
17 down the road, in that this effort will result in a
18 product and then we'll move on from there. And, you know,
19 at the state we are committed to seeing that this
20 biomonitoring program lives. And we are under fiscal
21 constraints, and that's a whole another thing. But what I
22 really look to this Panel for is your best expertise and
23 guidance to us about which chemicals you think are the
24 most important for California -- for California and for
25 this effort. And then we winnow it down to what exactly

1 that we can do.

2 But I don't want to diminish -- I don't think
3 that cost should be the first sieve that we go through.
4 We really -- in this initial phase we're looking for your
5 best recommendations and judgment as far as what you think
6 is the most important.

7 CHAIRPERSON MORENO: Well, then maybe as we're
8 moving through, kind of creating our -- if we do this, the
9 tentative list and then the chemicals of interest, instead
10 of the list of things that just don't wind up on the list,
11 this is -- we'll put that as the wish list, meaning that
12 we want to add it to the designated list. But be clear
13 where staff's at. Don't go looking for new information
14 because you don't have the time and resources. And then
15 when resources allow, we'll go back and look for
16 information to supplement what was created in this
17 process, because we need to get it on -- it sounds like we
18 need to get it on the list -- if this Panel feels it needs
19 to be on the list, get it on the list. And we'll go back
20 later and, as resources permit, start looking at the
21 toxicology, the properties and developing that. And then
22 from time to time it could be -- I'm wondering actually if
23 from time to time what is the process for moving items
24 from the designated list in to the priority list? Maybe
25 in two years from now, four years from now whose

1 responsibility is that?

2 OEHHA DEPUTY DIRECTOR ALEXEEFF: I just have a
3 comment also -- this is George Alexeeff. That I think as
4 we go through this exploratory process it's good to be
5 open minded about what might work, especially as we think
6 of what's important to California. So, you know, we may
7 find something, we may, you know -- but we won't spend --
8 the simple point was it will be sort of a first cut of
9 information, and then we'll decide if we want to get more.

10 And when we get to the prioritization stage, at
11 that point we can follow up and give you -- get more
12 information and documentation as to why it might be really
13 important as we're trying to make decisions based upon
14 cost.

15 I had one other point though. And, that is, just
16 getting back to the comments before on the pesticides.
17 And there was discussion about, you know, consumer
18 pesticides that were brought up, pesticide use reports,
19 you know. And then just to clarify, the pesticide use
20 reports don't cover the consumer products. So they only
21 cover those used agriculturally. But I think we could
22 query DPR, because those consumer products have to be
23 registered. So that -- I don't know what the status of
24 the information is. But we'll a query and try to find out
25 what they might know about that information and how we

1 might be able to get some information back to you about
2 consumer products -- consumer pesticide products and how
3 much might be used either by volume or something like
4 that.

5 PANEL MEMBER WILSON: And that was also -- it's
6 also collected by ARB. But it's old. It's 1997. And it
7 was for their VOC. It was a -- but it's -- they also
8 had -- they had data in consumer products on chlorinated
9 substances also. They collected that. But I don't know
10 the extent to which they collected active ingredient on
11 consumer products pesticides.

12 OEHHA DEPUTY DIRECTOR ALEXEEFF: Yeah, we can
13 also touch base with ARB as well. We work closely with
14 them in the consumer products group.

15 PANEL MEMBER BRADMAN: The PR data also includes
16 structural pest control and right of way. So there's
17 actually three categories there. But I think right of way
18 use is relatively low and mostly herbicides. But
19 structural pesticide use includes a lot of insecticides
20 and fungicides.

21 CHAIRPERSON MORENO: Yes.

22 PANEL MEMBER SOLOMON: So I was sort of jotting
23 down a list of chemicals that have come up that various
24 people have mentioned or that have come up in the surveys.
25 And so should I just sort of toss it out and then we can

1 look at it and decide if that's sort of any kind of a
2 starting point for discussion?

3 There were two metals that have come up. One is
4 chromium, which has some speciation issues. And the other
5 was vanadium pentoxide.

6 Then there was in terms of radionuclides,
7 Strontium 90 at a minimum. Some others were sort of
8 briefly mentioned.

9 Then -- well, we've had some -- a fair amount of
10 discussion about the pesticides. And so we'll have to
11 sort of figure out how to hone in there.

12 The flame retardant chemicals, which include the
13 replacements for the PBDEs and also DecaBDE because that's
14 not on the CDC list.

15 The methyl siloxanes. Some kind of -- this also
16 will involve some research -- antibiotics came up,
17 especially when it's used in animal operations such as
18 dairies in California.

19 And estrogenic chemicals, presumably
20 ethynilestradiol or other estrogens used in
21 pharmaceuticals.

22 Others that were mentioned include some of the
23 musks -- the artificial musks. That was only I think
24 mentioned once. And the parabens were mentioned a couple
25 of times.

1 And then the other category that I just put with
2 a question mark is VOCs, because they kept coming up.
3 Some -- you know, it's very tough to talk about them as a
4 group and hard to figure out how to deal with them. But
5 there are quite a number that are not obviously on the CDC
6 list. And we'll have to decide how high a priority that
7 is.

8 But I don't know if I missed anything. But those
9 are the ones that seem to be at least potential candidates
10 to the designated chemicals list.

11 Did I -- ah, I missed something.

12 Oh, manganese, right.

13 Okay. So a third metal.

14 MNT isn't used in California, thank God. But I
15 guess there are a lot of manganese operations, yeah.

16 PANEL MEMBER WILSON: Gina, was that from the
17 state survey. Is that where you --

18 PANEL MEMBER SOLOMON: I was actually pulling
19 from both the public survey and the state survey and the
20 notes that I had. But I still might have missed stuff.

21 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

22 The commenter from DWG also mentioned the
23 substitutes for the perfluorinated --

24 PANEL MEMBER SOLOMON: Oh, yes. I thought I'd
25 got -- yeah. Okay, so the PFOA substitutes.

1 Triclosan's on the CDC list. Oh, triclocarban is
2 not on the CDC list.

3 Okay. Triclocarban then should be added to that.

4 PANEL MEMBER MCKONE: The animal antibiotic, or
5 is that the one used in --

6 PANEL MEMBER SOLOMON: Triclocarban is actually
7 also used as triclosan is in personal care products and
8 soaps.

9 PANEL MEMBER MCKONE: That's an issue.

10 PANEL MEMBER SOLOMON: And so I guess this raises
11 the question of, you know, should we sort of take Mel's
12 approach and put them all on the designated chemicals
13 list? That would be fairly lengthy should we try to
14 prioritize among these. You know, some of these are sort
15 of nebulous groups, like the VOCs, so it's a little
16 tricky. We don't want to just sort of toss them all in.

17 PANEL MEMBER QUINT: You said VOCs. Do you mean
18 solvents?

19 PANEL MEMBER SOLOMON: I'm sorry. Yeah, they're
20 solvents.

21 OEHHA STAFF TOXICOLOGIST KROWECH: I have one
22 more. Nonylphenols and nonylphenol ethoxylates, that I
23 think I found in the bay.

24 CHAIRPERSON MORENO: You want to repeat your name
25 for the record, please.

1 OEHHA STAFF TOXICOLOGIST KROWECH: Oh, Gail
2 Krowech.

3 PANEL MEMBER MCKONE: What are those used for?

4 PANEL MEMBER SOLOMON: Nonylphenols and
5 nonylpheno ethoxylates are used in detergents.

6 PANEL MEMBER MCKONE: Okay. Oh, those are
7 surfactants --

8 PANEL MEMBER SOLOMON: Surfactant, yeah. They're
9 also used as inerts in pesticides and --

10 PANEL MEMBER QUINT: They're everywhere.

11 PANEL MEMBER SOLOMON: Oh, and sorry. The
12 terpenes - limonene and pinene. That's the other one I
13 forgot.

14 I actually didn't include formaldehyde and
15 acetaldehyde. I sort of self-censored that just because
16 they are -- those would be really not -- they're
17 biologic -- they're produced on their own bodies, and so
18 it would be very -- probably not a good candidate for
19 biomonitoring.

20 PANEL MEMBER MCKONE: The limonene, as I
21 understand the concern there is the secondary products.
22 They interact with ozone to form ultrafine particles. So,
23 you know, what would you learn by looking at those in
24 blood, other than somebody was in a situation where they
25 might have been exposed to ultrafine particles. And it

1 makes more sense to measure the --

2 PANEL MEMBER SOLOMON: They're contact allergens.

3 But, again, biomonitoring may not be the way to get at
4 that.

5 PANEL MEMBER MCKONE: Yeah, I mean I can't
6 imagine you're going to get much. This is where we have
7 to think chemically whether they're going to show up
8 anywhere and what it means.

9 PANEL MEMBER SOLOMON: Sorry. One more. NDMA.
10 That actually might be a good candidate.

11 And how could I have forgotten that. The EPA
12 Science Advisory Board Drinking Water Committee was
13 actually talking about NDMA. And that's now on the --
14 it's on the candidate contaminate list that just came out.

15 OEHHHA STAFF TOXICOLOGIST KROWECH: Sun screens.
16 Oxibenzone was mentioned by a couple of people.

17 CHAIRPERSON MORENO: Thank you, Gina.

18 At this time maybe I could ask the other Panel
19 members if they have some chemicals that they've noted in
20 these discussions that they want to put out there for
21 consideration.

22 PANEL MEMBER KAVANAUGH-LYNCH: I think we should
23 talk about nanoparticles.

24 CHAIRPERSON MORENO: I'm sorry?

25 PANEL MEMBER KAVANAUGH-LYNCH: Nanoparticles.

1 PANEL MEMBER MCKONE: Yeah, I was just -- if
2 we're getting into sun screens, then we could really get
3 into the titanium dioxides.

4 And then the other thing is the silver
5 nanoparticles that are going into a lot of clothing, the
6 odor-killing agents and -- does that mean you should look
7 at silver? I mean I --

8 PANEL MEMBER QUINT: Silver iodide was on the
9 list, I think. Under nanoparticles you had two, titanium
10 dioxide and silver dioxide and silver -- okay.

11 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
12 BRANCH CHIEF ZEISE: But, Gail, was the -- I'm just
13 wondering with respect to nanoparticles, if the concern is
14 to look at them actually as nanoparticles rather than the
15 way that we treat bulk chemicals, because of this distinct
16 issue -- the distinct characteristics of the particle
17 itself.

18 OEHHA STAFF TOXICOLOGIST KROWECH: But this would
19 be some idea of the extent of exposure to certain ones.
20 That's what you need

21 PANEL MEMBER MCKONE: Yeah, if it's a silver
22 nano -- and it depends upon I guess the salt they're
23 using, the silver iodide. That's being used a lot in
24 clothing. And the question is: Should you look for
25 silver? Probably not going to find silver iodide that's

1 to your system. But would you see it? I mean, again, we
2 may not decide this today, but it's an interesting
3 question. Is that an effective way -- if we suddenly --
4 if somebody could match the rise in blood silver with the
5 use of silver iodide in the market side, that would be a
6 very interesting study. Again, it's something we should
7 look at -- we can't do that right here. But that would be
8 a way to really confront a very important issue that a lot
9 of people are very concerned about.

10 OEHHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

11 BRANCH CHIEF ZEISE: On the survey we also did receive
12 some suggestions for the nano -- carbon nanoparticles as
13 well. And so I guess for different kinds of nanoparticles
14 you'd have different indicators that you'd want --

15 PANEL MEMBER MCKONE: Well, the carbon tubes, I
16 don't know what you look for.

17 OEHHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

18 BRANCH CHIEF ZEISE: But there you're concerned about, you
19 know, the kind of particle damage that --

20 PANEL MEMBER MCKONE: Right. You could look for
21 a biomarker of damage.

22 The other one -- and, again, it -- you know,
23 there's titanium dioxide is going to be used in a lot of
24 applications. It is already. But it's being proposed for
25 air cleaning, putting it in systems with UV light because

1 it destroys microorganisms. The Japanese are already
2 promoting self-cleaning windows. You coat them with
3 titanium dioxide and then the UV interaction, it forms a
4 reactive surface that keeps your windows clean. They're
5 even talking about clean wall surfaces.

6 So, you know, we're going to be filling our
7 residential environments with titanium dioxide. Should we
8 be looking for a marker of whether that's causing a change
9 in the composition of blood or urine? Is it showing up in
10 some way?

11 CHAIRPERSON MORENO: I'm wondering if some of the
12 questions that are coming up since Gina put forth this
13 list are questions that could go to a work group to try to
14 find additional information. And I'm saying that because
15 it's 10 to 3. And I also heard -- I think Tom had
16 mentioned that -- at least two of you had mentioned that
17 you thought maybe you could try to make some tentative
18 agreement on some initial chemicals to add to the
19 designated list. And I'm wondering if there's still
20 interest among the panel today to do that. And we don't
21 have to do that today. We can go with this list and in
22 the next minute or two any other chemicals that the Panel
23 members feel are important, and then hold off in making
24 any tentative recommendations to the list and get some
25 further information from staff.

1 Yes.

2 PANEL MEMBER LUDERER: Just one other class of
3 compounds which I think came up in the survey was -- and I
4 don't think you mentioned. It was the drinking water
5 disinfection byproducts like the trihalomethanes and those
6 things.

7 OEHHA STAFF TOXICOLOGIST KROWECH: All of them
8 are on there.

9 Are they?

10 Okay. I was looking just now and it didn't --

11 PANEL MEMBER WILSON: I appreciate the interest
12 in trying to populate a list in the interest of sort of
13 moving forward and doing something. And yet the idea of
14 naming specific chemicals might make sense in some cases,
15 I think like the emerging PBDEs, the PFOA issue that was
16 raised, these are clearly going to enter commercial
17 circulation. But, you know, in my mind looking, for
18 example, just at the PUR data, I really feel like we need
19 to have another layer of information to flag specific
20 substances. And that would apply in these other arenas as
21 well. I think -- I need to have some more information
22 before we flag a substance that's of public health
23 priority in the State of California. And it might be that
24 we're -- yeah, I'm proposing that we have a little bit
25 more iterative process to do that.

1 CHAIRPERSON MORENO: Okay. Thanks.

2 Others?

3 Oh, before Panel members.

4 Go ahead.

5 OEHHHA DEPUTY DIRECTOR ALEXEEFF: I just wanted to
6 summarize sort of what the request was, because there's
7 been a couple of requests for addition information on some
8 chemicals. For example, Dr. Krowech was going to look
9 at -- provide a report sort of summarizing the interviews
10 with the staff, so you'd get a little more information
11 about why certain chemicals were suggested. So that could
12 answer your question.

13 It came up earlier, the issue from CalOSHA staff
14 about where they have some actual measurements that are
15 required for certain chemicals. We could look into that
16 and see if those chemicals are there. And then also the
17 pesticides.

18 So what we could do is we could compile all these
19 chemicals or this list of information, chemicals and the
20 ones that have been brought up, compare it with the CDC
21 list to make sure there's not the overlap. And then we
22 could provide that information back to you so you could
23 see how many chemicals have been already brought up for
24 discussion at this point. That precludes making a
25 decision right now. But at least this gives you the list

1 of all the different things we've talked about so far.

2 So that was going to be like a suggestion that I
3 might have as a follow-up for a subsequent meeting.

4 CHAIRPERSON MORENO: Okay. A couple comments
5 over here to the right.

6 PANEL MEMBER SOLOMON: Well, I'm not sure which
7 one of us was first.

8 Well, just sort of to build on this longish list
9 that we just came up with of potential candidates for the
10 designated chemicals list. When I actually looked down
11 this list and applied the screens that we were thinking
12 about in terms of are these chemicals that are
13 particularly an issue here in California as opposed to
14 say, you know, sort of a national issue? And are they
15 ones that we think are emerging issues? There are really
16 four that pop out of this rather long list, though I may
17 be missing others. And maybe five, depending on how you
18 argue it.

19 One is vanadium pentoxide because of the -- well,
20 I guess that's a national issue too, because of the diesel
21 catalyst. But that we do have a lot of major diesel hubs
22 around the Port of L.A. and the Port of Oakland. And so
23 that issue was sort of an emerging issue from the diesel
24 catalyst perspective, and the very significant air quality
25 issues we have regarding diesel would sort of pop out to

1 me.

2 The Flame retardants, as Michael has previously
3 stated, because of our unusual flame retardancy standards
4 here in California, we're going to be dealing with the
5 flame retardants probably at much higher concentrations,
6 and earlier than the rest of the country will be. And so
7 again we could justify moving those forward.

8 The methyl siloxanes, or particularly D5. Due to
9 the Air Resources Board's ban on Perchloroethylene, perc,
10 in dry-cleaning, D5 is coming on as one of the major
11 replacements. This is again a California-specific issue
12 and an emerging issue where, you know, we might do well to
13 sort of be on the forefront of it.

14 And then we've talked about the pesticides, where
15 there's, you know, sort of specific use patterns here in
16 California. Probably less so, frankly, with the household
17 pesticides as with some of the agricultural pesticides,
18 where we could justify that these are particular issues
19 we're facing here in California that aren't just universal
20 nationally.

21 The one that I put as maybe question mark are
22 some of the antibiotics and hormones that are used in
23 agriculture. We do have an enormous dairy industry in the
24 Central Valley, with very heavy use of certain types of
25 pharmaceuticals in animal husbandry. And we actually look

1 at the numbers in terms of pounds of antibiotics sold for
2 human use in pharmaceuticals versus animal use. It's
3 unbelievable, the differentials. So that the animal use
4 is huge.

5 So there again, I think we could justify saying
6 that there's an issue here in California that we should be
7 tracking.

8 The others -- there are a lot of other chemicals
9 here that I care a lot about. I'm really worried about
10 triclocarban. Or, you know, really concerned about NDMA.
11 I'm not sure if it's more of a California issue than
12 anywhere else, so I'm not proposing that we add those at
13 this point.

14 PANEL MEMBER WILSON: I think those are all
15 reasonable, Gina. And they also have a -- there's a
16 regulatory driver that would suggest that they are going
17 to grow in California. So I think that makes sense.

18 And the high volume pesticides, over the half
19 million pounds or so, makes sense. And they are -- that
20 are unique to California, most of which don't appear on
21 the CDC list. Does that make sense? And I would agree
22 that we could add those or propose those.

23 And the only other category that I think that I
24 would propose that we consider is in the consumer product
25 sector because of our VOC regulations, that we do have

1 unique VOC caps unique to other states. And so we've
2 created unique formulations here. And the consumer
3 products are primarily driven by general purpose cleaners.
4 And, in fact, non-selective herbicides, defoliants, and
5 lawn and garden insecticides, those are the high volume
6 consumer products.

7 And so I would I think like to add that category
8 of -- you know, the consumer-product side and also, you
9 know, call for more information on those from the two that
10 you've suggested, George, from DPR and from ARB. And also
11 because they are likely high exposure, being consumer
12 products.

13 CHAIRPERSON MORENO: Okay.

14 PANEL MEMBER SOLOMON: Just one point -- oh,
15 sorry.

16 Go ahead, Tom.

17 PANEL MEMBER MCKONE: Along the same line though.
18 I think a question before we -- I mean we're trying to
19 narrow this down, which is good. But maybe we don't have
20 to. I mean one question that comes up is: How big is too
21 big, in terms of the screening list? Screening is a real
22 art, right, where -- I mean if you make it too large, then
23 it's no value. But if you start cutting it too early, you
24 risk losing something that's important. I'm a little
25 worried about, you know, whether we should come in with

1 our chopping knife and be, you know -- or should we kind
2 of work around the edges and just narrow it down?

3 So I guess the question is: Is 100 too big? I
4 don't know if we could come up with a hundred substances
5 or if that's too many to begin taking a chunk out.

6 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
7 BRANCH CHIEF ZEISE: I guess I've kind of heard now four
8 categories. So maybe I've got this wrong. We've got the
9 we've got our priority chemicals, we've get our designated
10 chemicals. But then we have these tentative designated
11 chemicals. And I think listening to Mike and Gina, if we
12 take that set, there are five different categories.

13 And then we have chemicals of interest that we
14 need to do more work in. So I see it sort of this big
15 funnel. And at the very top is everything, right? We're
16 trying to get a handle on everything -- that's important
17 in everything. But I see that. And I think we can do --
18 we can put our sets at different levels of effort for each
19 of those tiers.

20 For something to make it into -- from a chemical
21 of interest into a tentative designated is one thing. But
22 to go from tentative designated into designate seems that
23 you might want a little more information on
24 pharmacokinetics, on persistence, on maybe some issues
25 around lab feasibility to see whether or not there are

1 existing methods, whether or not an existing method is in
2 reach. So we can apply different levels of effort to
3 these different categories.

4 So as long as it's understood that that's the way
5 we could approach it, I think we can move ahead with --

6 PANEL MEMBER McKONE: Yeah. Well, actually my
7 question is -- I mean how far down the funnel do we want
8 to go today? Because some of it gets to be a little bit
9 irreversible. And, you know, we threw out a lot of
10 suggestions that I want to make sure we know that we're
11 taking -- that we purposely know we're throwing them out.
12 And we could do some of that. But I --

13 CHAIRPERSON MORENO: Can I make a suggestion?

14 If we did narrow it today, come up with -- agree
15 through a consensus that this group of five chemicals
16 would receive most of the Department's efforts to gather
17 more information, the other thing that we might learn from
18 that is how much time and effort does it really take. So
19 if it takes you a month -- if it takes you five weeks to
20 get information on these five classes, we'll have to know
21 that. And the other thing would be we could have a
22 handful of Panel members participate in trying to gather
23 this information so we know firsthand before we come back
24 at the next meeting and say we want to add -- go get
25 information on the next 50, we have the good understanding

1 on how much effort it takes. But not that it would limit
2 us from adding things to the designated list. But it
3 would provide us some guidance on really what's reasonable
4 to direct to staff to.

5 So being that it's 3 o'clock, is there -- I'm
6 going to assume now at this point that there's no
7 consensus that we want to designate chemicals today to the
8 list -- to the statute.

9 Okay. Correct?

10 PANEL MEMBER WILSON: Correct.

11 CHAIRPERSON MORENO: Okay. But there maybe is
12 enough interest among this Panel to commit to five --
13 these five groups of chemicals to work with staff to focus
14 efforts to try to get more information, with the intent
15 that we'll learn from this process and be able to make I
16 guess more informed decisions and directions to staff
17 following the next meeting.

18 PANEL MEMBER MCKONE: Could we repeat the five
19 just to make sure we're all --

20 CHAIRPERSON MORENO: Gina.

21 PANEL MEMBER SOLOMON: So what I had proposed
22 was:

23 Vanadium pentoxide, which is not a class, just
24 one chemical.

25 The flame retardants. That would include

1 pentaBDE. And then the others that are coming in as
2 replacements for the tetra and penta-BDEs -- sorry --
3 decaBDEs. And then the replacement for tetra, penta, and
4 octa.

5 The Methyl siloxanes. Or, depending how we want
6 to do it, we could just narrow it to D5.

7 And then -- well, it's actually two categories,
8 so maybe we should split it into six. Because I'd lumped
9 together the antibiotics and hormones used in animals.
10 And actually -- well, ethynilestradiol wouldn't fit as
11 being California specific, though it's a real interest.

12 Anyway, so some of these pharmaceuticals --

13 PANEL MEMBER MCKONE: Gina, have we rejected the
14 plasticizers?

15 PANEL MEMBER SOLOMON: Good question. Because
16 then the last category was pesticides.

17 The plasticizers and the PFOA alternatives don't
18 meet -- well, actually plasticizers could meet the
19 category of being California specific because of the ban
20 on phthalates in kids' toys, which is specific to
21 California. And so, presumably, we could argue that some
22 of the phthalate replacements will be coming into use in
23 child care products and kids' toys before anywhere else.

24 PANEL MEMBER MCKONE: This is the first signal.

25 PANEL MEMBER SOLOMON: So one could make a good

1 argument for adding the plasticizers.

2 PANEL MEMBER MCKONE: Right. But then I mean
3 we're not -- we may find that their elements are possible.
4 I mean it will -- whether they make the final list has to
5 do a lot with issues of feasibility.

6 PANEL MEMBER WILSON: And there were the high
7 volume products. High volume consumer products identified
8 by Air Resources Board.

9 PANEL MEMBER CULVER: I think vanadium pentoxide
10 is sort of out of place in that, well, first of all, it's
11 a pulmonary irritant, but not a very powerful systemic
12 poison. It's major source is from oil-fired boilers, not
13 from its use as a --

14 PANEL MEMBER QUINT: -- catalyst.

15 PANEL MEMBER CULVER: Yeah. So the option for
16 exposure is two groups of people who work with oil-fired
17 boilers, and especially those that clean them out.

18 PANEL MEMBER QUINT: I think it's also on the
19 Prop 65 list, if I'm not mistaken, as a carcinogen.

20 PANEL MEMBER CULVER: Well, it's a carcinogen in
21 mice but not in rats.

22 PANEL MEMBER QUINT: Well, it meets the
23 definition of known to the state. And I think there's
24 probably some use a that we collected during when we did
25 the OEHHA report or when Sara did that. So we can ferret

1 out whether or not -- how substantial the uses are.

2 OEHHA DEPUTY DIRECTOR ALEXEEFF: Can I make a
3 suggestion, that we broaden it a little bit to vanadium.
4 It's mostly vanadium pentoxide, of which there's
5 information. But I think this would be useful. Because I
6 think in the end they probably measure vanadium, and I
7 think vanadium pentoxide would be measured anyway.

8 PANEL MEMBER QUINT: Okay. Got it.

9 But what's listed as the pentoxide?

10 OEHHA DEPUTY DIRECTOR ALEXEEFF: The pentox.

11 CHAIRPERSON MORENO: All right. So I'm hearing
12 seven now, is that right?

13 You split out the estradiols from the main -- and
14 added plasticizers.

15 PANEL MEMBER BRADMAN: We should just emphasize
16 this is an iterative process. I mean there's probably
17 important chemicals that CDC doesn't measure that should
18 be. And CDC may put new stuff on their list, which I
19 think would put it on our list.

20 So I think this is a reasonable suggestion what
21 Mike and Gina are suggesting. But this is a first cut,
22 you know, if this is going to be a multiyear program.
23 So --

24 CHAIRPERSON MORENO: Okay. Seeing that it's 5
25 after 3, we still have a break and we have another

1 presentation and we have public comment, all before 5
2 o'clock.

3 Could I please ask that we achieve consensus on
4 these seven chemicals of families, and also direct staff
5 to work with a few Panel members. So then we can ask for
6 volunteers at this time.

7 PANEL MEMBER WILSON: I would like to make sure
8 that Asa's qualification is stated for the record in
9 calling for consensus.

10 CHAIRPERSON MORENO: I'm sorry?

11 PANEL MEMBER WILSON: That the qualification that
12 Asa just made is included in your call for consensus.

13 CHAIRPERSON MORENO: Okay. Thank you.

14 Noted.

15 CHAIRPERSON MORENO: Okay.

16 Yes.

17 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

18 BRANCH CHIEF ZEISE: Really think it's important that we
19 walk away from here being absolutely clear about the
20 seven. So I was wondering if you could just go through
21 the list one more time. Because I think some of us might
22 be counting these a little bit differently. So I just
23 want to make sure we've got a real clear understanding.

24 PANEL MEMBER SOLOMON: Okay. So number 1 is now
25 vanadium. And the rationale for that is because of this

1 new use in diesel engines, not because of boiler use. But
2 then the concern about wider exposure because of diesel
3 engine exhaust.

4 So number 2 is a category of flame retardants
5 that actually is, chemically speaking, heterogeneous. But
6 from a use perspective -- you know, it's pulled together
7 from a use perspective. And so what we're asking staff to
8 do here is to pull together a short -- you know, short
9 list of what are the main flame retardants that we should
10 be looking at for addition to the designated chemical list
11 with, you know, some -- we won't know, we won't be able to
12 predict how much they're going to be used, but which ones
13 are likely to be coming in as replacements. And we sort
14 of already have a list --

15 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

16 Excuse me, Gina. Just to clarify. Are you
17 asking that we look at flame retardants, like the
18 halogenated flame retardants generally, or was it deca,
19 penta, and then possible replacements?

20 PANEL MEMBER SOLOMON: That's a good question.

21 PANEL MEMBER WILSON: My sense it was the
22 emerging ones, right?

23 PANEL MEMBER BRADMAN: I thought it was the
24 emerging and those not on the CDC list.

25 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

1 Because there may be a number that are currently
2 being used that we're not -- I mean that are not
3 necessarily being biomonitoring for.

4 PANEL MEMBER BRADMAN: Like deca.

5 PANEL MEMBER SOLOMON: Right. So I think we
6 should include -- since the driver for this is the fact
7 that we've got a more stringent set of flame retardancy
8 standards and therefore potential for higher exposures to
9 flame retardants in California, we should be looking at
10 flame retardants, both ones that are in current use and
11 ones that are replacements. But I would recommend that we
12 exclude for purposes of this discussion -- well, obviously
13 the ones that are already being biomonitored by CDC are
14 also the same as the ones that are being phased out in
15 California. So those are not on this current list.

16 Then the third category is -- I guess let's keep
17 it if people are okay with this -- is methyl siloxanes,
18 but with a primary focus on D5. But I think there's some
19 interest in whether it is reasonable to group some of the
20 methyl -- you know, group the methyl siloxanes or whether
21 we should be separating them out. So that would be a
22 question that we'd need to think about.

23 The third category is pesticides that are -- the
24 fourth category. Sorry. Fourth category is pesticides
25 that are high use on the PUR list in California. And

1 we're going to have to figure out -- Mike has proposed a
2 pound cutoff threshold, which we should consider. But we
3 also might want to look at what different thresholds would
4 give us, and just look at which of those chemicals are not
5 on the CDC list. And there I think the main questions are
6 going to be around some of the physical-chemical
7 properties of those pesticides to help us predict which of
8 those might end up being biological contaminants.

9 So we'd be interested in any half-life
10 information or KOW type information, volatility, et
11 cetera.

12 And then the antibiotics. There we're looking
13 for any kind of information we can on antibiotics,
14 particularly used in confined animal feeding operations.
15 If we can get any California-specific information, that
16 would be fabulous. But I'm not sure that there is
17 California-specific information. And whether there are
18 biological markers for -- in ways for biomonitoring those.

19 Then the next category is hormonal chemicals
20 again used in animal operations. But also sort of looking
21 at pharmaceutical hormones, both anabolic steroids,
22 presumably in estrogens -- boy, there's a lot of work. Is
23 this too crazy?

24 And then the final is the plasticizers that are
25 likely replacements for the ones that were banned recently

1 in children's toys and child care products. And --

2 PANEL MEMBER WILSON: That's seven. The one you
3 keep forgetting is substances used in high volume products
4 in California -- high volume consumer products. ARB calls
5 them consumer and commercial products.

6 PANEL MEMBER SOLOMON: And just a clarification,
7 because I guess part of why I haven't included it is I
8 didn't totally understand. Are you driving there at what
9 are some of the lower VOC -- I mean what are some of less
10 volatile chemicals that are replacing some of the VOCs in
11 consumer products as Air Resources Board regulations are
12 coming in? Because that's actually -- that's one
13 interesting question, is sort of -- in terms of emerging
14 chemicals in California, you know, are we looking at,
15 okay, so there are now regs coming in place about low VOC
16 paints.

17 PANEL MEMBER WILSON: It's --

18 PANEL MEMBER SOLOMON: And you're talking --
19 actually Ulrike and I had a conversation about this
20 yesterday. And some of the chemicals that are now used in
21 these low VOC paints include a number of long-chain -- or
22 medium-chain glycol ethers, and that could be of interest.
23 They're much less volatile than solvents -- VOCs that used
24 to be used in paints. But they have some potential
25 toxicity.

1 PANEL MEMBER WILSON: Maybe I could tell you what
2 I was thinking on that. Again that there -- we have about
3 164 million pounds used every day in the state. And about
4 85 percent of that is in two or three categories of
5 consumer and commercial products. Some general purpose
6 cleaners, fungicides, insecticides, and one other --
7 carpet and upholstery cleaners. It's about 85 percent.
8 So those products are being regulated by ARB, and with
9 respect to their VOC content. But I don't know
10 specifically what that is today. But there's certainly
11 high -- you know, the substances in those product
12 categories are candidates for high exposure across the
13 state. And I think it's possible to get reasonable
14 information on those in terms of the constituents, the
15 chemical constituents.

16 I understand your confusion, because it may be
17 that there's 50 substances in there, or a thousand
18 substances for all we know. My hope is that we have --
19 there are key ingredients in general purpose cleaners, for
20 example, which I think there are, that will be of
21 interest, both for consumers and occupationally. That was
22 the rationale.

23 PANEL MEMBER BRADMAN: But they're not
24 necessarily unique to California.

25 PANEL MEMBER WILSON: Well, maybe or maybe not.

1 Yeah, depending on the ARB's VOC -- we have a lot of
2 unique formulations in this state for consumer products
3 that are driven by the VOC rules.

4 But maybe you have something to add, Julia.

5 PANEL MEMBER QUINT: Yeah, having done projects
6 on two classes of these: Auto aerosol products. And I
7 mean you can be opening up a large number of substitute
8 chemicals here, because in one given product -- first of
9 all, there's several -- many different commercial
10 products, which change, as Michael knows -- the
11 formulations change a lot depending on what's cheaper to
12 make, that sort of thing.

13 And they also can contain, you know -- instead of
14 methylene chloride, for instance, they're adding ethyl
15 benzene and toluene and those sorts of things. Certainly
16 chemicals of interest. But if you factor in the number of
17 different products times the number of different
18 ingredients in those products that we might be interested
19 in, you know, across the whole category of general purpose
20 cleaners, you are talking about a lot of different
21 chemicals and a lot of different -- you know, a lot of
22 work.

23 So I think, you know, you might consider it sort
24 of an embedded sort of pilot and look at kind of a general
25 use category -- you know, like auto aerosol products have

1 been stringently regulated by CARB to remove the
2 chlorinated hydrocarbon solvents. So you might just start
3 looking there and some other categories that you might.
4 But not -- you sort of have to really break down the
5 general purpose cleaner category and see how much work
6 that is. Because it is true that there are
7 California-specific products, but they're all over the
8 map. D5 is one of those. It's an auto parts cleaners
9 now.

10 CHAIRPERSON MORENO: I've heard --

11 PANEL MEMBER WILSON: Yeah, I mean I see it sort
12 of falling into this sort of pesticide category that
13 we're -- we're prioritizing sort of high volume
14 pesticides. But we really need more information to
15 identify which ones are of real public health
16 significance, yeah, like you said the physical-chemical
17 properties and what have you. So it's a product category.
18 And I think it's true, it could be infinitely complicated.
19 But it's possible that we could -- that it can be narrowed
20 in a reasonable way.

21 OEHHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

22 BRANCH CHIEF ZEISE: Why don't we do some -- just as a
23 suggestion, do some exploratory work, let you know what
24 it's beginning -- how it's beginning to shape up, and get
25 some feedback from you as we try to get a handle on that

1 particular one. We can treat that again as sort of higher
2 up in the funnel in terms of level of understanding. So
3 we'll try to get some more specifics about what that might
4 look like and how we might sort through those chemicals.

5 PANEL MEMBER WILSON: Yeah, and I can help with
6 doing that as well.

7 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
8 BRANCH CHIEF ZEISE: Good. Great.

9 PANEL MEMBER LUDERER: And certainly a big part
10 of that is going to overlap with the pesticide category
11 too, since you mentioned that some large percentage of
12 those consumer products are fungicides and insecticides,
13 right?

14 PANEL MEMBER WILSON: Right, as consumer
15 products.

16 CHAIRPERSON MORENO: All right. So we've gone
17 through the list of seven with some consideration for the
18 high-use chemicals.

19 Back to staff. Is this a reasonable list that
20 you think we could get some outcomes on, getting more
21 information, then working with some of the volunteer Panel
22 members?

23 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
24 BRANCH CHIEF ZEISE: Yeah. We look forward to having some
25 volunteer Panel members to help.

1 CHAIRPERSON MORENO: Okay. I can't help -- do
2 you have a question?

3 Can you come to the mic.

4 CDPH BIOCHEMISTRY SECTION CHIEF SHE: Jianwen
5 She, biomonitoring program staff.

6 I'd like to make one comment, from the list which
7 as proposed found we are very far from all the CDC list,
8 so that we have difficulty to compare with national
9 levels, something we need to consider.

10 From a chemical view, all these chemicals which
11 is proposed are organic chemicals. We forget all the big
12 inorganic heavy metals. So consider those two factors,
13 and I ask Panel to consider this is for program even as a
14 data point to ask -- to provide information. I think it
15 is good, like Gina said, we starting in 2011. We want to
16 be ahead of it. But on the other hand, we needed to
17 continue on our foundations.

18 Thank you.

19 CHAIRPERSON MORENO: All right. Thank you.

20 PANEL MEMBER WILSON: Can I have a clarifying
21 question about that, just quickly?

22 CHAIRPERSON MORENO: Certainly.

23 PANEL MEMBER WILSON: Are you suggesting that we
24 should sort of stay within what CDC is sampling as a way
25 to compare the State of California with the national

1 samples?

2 CDPH BIOCHEMISTRY SECTION CHIEF SHE: I suggest
3 at the least we should include some traditional chemicals
4 in this process. This is good.

5 PANEL MEMBER SOLOMON: No worries. We will.

6 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:
7 Just, you know, for clarification, Jianwen,
8 they're going to go through this two-step process. And
9 we're starting with the entire CDC list, that that's a
10 given. And they're looking at things that can be added to
11 that list as possibilities for the kinds of things that
12 we'll be looking at in the program.

13 CHAIRPERSON MORENO: All right. So in the
14 interests of moving this iterative process forward, can I
15 have up to four volunteers, which is less than a quorum,
16 to work with staff to find a time to review in more detail
17 the proposed -- this tentative list -- I won't call it
18 priority, because that might confuse it with the other
19 process -- so this tentative list of chemicals and groups
20 of chemicals. And that's basically it. Leaving it up to
21 the staff and the Panel members to develop their own
22 timeline and decide when they're ready to come back and
23 provide that information to the rest of the Panel.

24 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
25 BRANCH CHIEF ZEISE: So, again, could you just reiterate

1 who the four people are that we'll be working with.

2 CHAIRPERSON MORENO: We're going to find out
3 right now.

4 Any volunteers?

5 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

6 BRANCH CHIEF ZEISE: Oh, I see. I'm sorry.

7 CHAIRPERSON MORENO: Anyone who's interested?

8 We have five folks.

9 Okay. We need four.

10 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

11 BRANCH CHIEF ZEISE: You know, if we -- the thing that we
12 can't do is have -- and we kind of need it here. So,
13 yeah, we've got to be careful.

14 CHAIRPERSON MORENO: I don't want out there that
15 there's five. Because if any of the five don't show up,
16 we're going to look like we're planning to hold a meeting.

17 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

18 BRANCH CHIEF ZEISE: One possibility is to have a smaller
19 set and then perhaps schedule some conference calls that
20 we could make available and open to the public.

21 Michael, I know that you had some ideas.

22 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

23 Yeah. One possibility -- and we talked about
24 this briefly during the break -- would be instead of
25 having, say, the next meeting be a formal meeting like

1 this sometime in October, you will be given an opportunity
2 to have like a meeting via conference call or maybe via
3 the web that's open to public, that's properly noticed, so
4 that it complies with all the administrative requirements
5 of the state, where you might block out maybe two hours or
6 three hours to continue this discussion with some
7 additional information that we will develop in the
8 interim.

9 CHAIRPERSON MORENO: I think that's fine. I
10 think we're probably willing to do that. What I was
11 looking at was trying to find a group between now and then
12 who could work with you on this end.

13 OEHHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

14 BRANCH CHIEF ZEISE: I think that would be helpful.

15 PANEL MEMBER QUINT: I think I'll exclude myself.

16 CHAIRPERSON MORENO: Are you sure?

17 PANEL MEMBER QUINT: Yeah.

18 CHAIRPERSON MORENO: Okay.

19 PANEL MEMBER QUINT: I have plenty to do.

20 CHAIRPERSON MORENO: Okay. We have four.

21 The four are again?

22 Dr. Wilson.

23 PANEL MEMBER WILSON: Mike Wilson.

24 CHAIRPERSON MORENO: Who else?

25 PANEL MEMBER LUDERER: Ulrike Luderer.

1 CHAIRPERSON MORENO: Ulrike. Thank you.

2 Gina and Tom.

3 Okay. I'd like to recommend we take a quick
4 break, ten minutes. That's 25 till on that clock back
5 there.

6 Thanks.

7 (Thereupon a recess was taken.)

8 CHAIRPERSON MORENO: We're getting started. If I
9 can ask the remaining Panel members to have a seat. We've
10 got a presentation.

11 The next section of our meeting today:
12 Approaches for Identifying Priority Chemicals for
13 Biomonitoring in California.

14 I'm introducing Lauren again.

15 Is Lauren here?

16 OEHHA RESEARCH SCIENTIST DUNN: No.

17 CHAIRPERSON MORENO: Okay. Again, Lauren's with
18 OEHHA. And she's lead for the California Biomonitoring
19 Program with OEHHA.

20 Lauren, hi. I was just introducing you.

21 (Laughter.)

22 CHAIRPERSON MORENO: And you'll give us some more
23 information on identifying priority chemicals for
24 biomonitoring and introducing your staff, right?

25 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

1 BRANCH CHIEF ZEISE: Yes. And I'll be fast.

2 What we're talking about is this criteria. And
3 up on the slide what we focused on are the criteria
4 following -- basically following the priority chemical
5 criteria. And Amy Dunn from OEHHA will be telling us both
6 about the feedback we got from the surveys as well as from
7 the public workshops, teleconferences, Email, as well as
8 from the inquiries into state programs. So on your agenda
9 you have two -- we had two different presenters. But it
10 made sense to combine the talk into one.

11 So Amy will be giving the talk.

12 (Thereupon an overhead presentation was
13 Presented as follows.)

14 OEHHA RESEARCH SCIENTIST DUNN: Good afternoon.

15 As Lauren mentioned, what we're going to switch
16 to thinking about now is the question of once we have the
17 list of designated chemicals, including whatever might be
18 added to the Centers for Disease Control set, how do we
19 decide as a program with your input what is going to be a
20 priority chemical?

21 --o0o--

22 OEHHA RESEARCH SCIENTIST DUNN: And I'll be going
23 through input from the public on this point as well as
24 from State public health and environmental health
25 programs. And for each of these sets of input, I'll be

1 describing the process that we used to solicit input, the
2 results in terms of who participated, what the preferences
3 were that were expressed related to criteria that we
4 presented, and also other suggestions of approaches that
5 we got from people through these processes.

6 --o0o--

7 OEHHA RESEARCH SCIENTIST DUNN: So with respect
8 to the public and input on selecting chemicals to
9 biomonitor in California, what we did initially as a
10 program was that we developed a set of possible criteria.
11 And I'll be going through what those were in a moment.
12 And this was a set created to generate discussion and
13 stimulate ideas. And these criteria were then discussed
14 at the public workshops and teleconferences that were
15 described earlier, and also were presented in the survey
16 that was available online for people to take.

17 We asked the participants in the workshops and
18 the survey respondents to consider their preferences among
19 this set of criteria. And then we solicited their
20 suggestions about other issues that the program should
21 consider in selecting priority chemicals.

22 --o0o--

23 OEHHA RESEARCH SCIENTIST DUNN: So before I go
24 through this set -- and there's ten different criteria
25 that we put together. The order is not significant. This

1 is just the order that they were presented in the survey.
2 And as I mentioned, this was not meant to be an
3 all-inclusive set, but it was with the idea that people
4 would maybe get some ideas from these as to which
5 directions the program might take.

6 So then I'll just go through.

7 The first one was to focus on chemicals that are
8 widely used throughout California.

9 A second criteria might be to focus on chemicals
10 that will help the government to decide how well
11 environmental laws are working.

12 A third criteria presented was to focus on new or
13 emerging chemicals or chemicals that are now becoming
14 widely used.

15 A fourth criteria was to focus on chemical for
16 which we know there's exposure taking place in the
17 workplace.

18 --o0o--

19 OEHHA RESEARCH SCIENTIST DUNN: A fifth criteria
20 was to focus on chemicals that are part of the national
21 program.

22 A sixth criteria, the opposite of that, to focus
23 on chemicals that are not being studied nationally.

24 A seventh criteria, to focus on chemicals for
25 which there may be higher exposures in California due to

1 state-specific activities such as farming, oil refining,
2 or other regulations, such as we've talked about some
3 today, stricter flammability standards, for example.

4 --o0o--

5 OEHHA RESEARCH SCIENTIST DUNN: An eighth
6 criteria that we presented was chemicals to which pregnant
7 women, fetuses, and children are likely to be especially
8 sensitive.

9 A ninth criteria, chemicals that are persisting
10 in the environment and can accumulate in people's bodies.

11 And, finally, the criteria that the program look
12 at chemicals that are found in communities where people
13 may come into contact with more pollutants than the
14 general population.

15 --o0o--

16 OEHHA RESEARCH SCIENTIST DUNN: With respect to
17 participation on this question, in the workshops and
18 teleconferences we received 37 different comments. As was
19 mentioned earlier, we had very attentive reporters at
20 these meetings who were copying down people's comments.
21 So the comments counted here include comments on the
22 criteria that we presented as well as people's ideas about
23 other kinds of approaches.

24 On the survey, 290 of the survey respondents
25 answered the question that asked them to choose their top

1 four criteria from among that list of ten. And
2 approximately half, a little more than half of the
3 respondents also made suggestions on criteria. And many
4 of these were suggestions of multiple ideas. So we got
5 quite a bit of input on this point.

6 And through Email we had four individuals or
7 organizations who made comments on criteria.

8 --o0o--

9 OEHHA RESEARCH SCIENTIST DUNN: Turning to the
10 question of the four top choices of criteria among that
11 set of ten within the people who responded to the public
12 survey. As you can see here -- and I'm sorry if it's a
13 little hard to read on the left-hand side what is listed
14 there. But I will be going through those top choices.
15 There's a set of two that kind of stand out and then three
16 more that were chosen by a significant portion of those
17 responding. But I would also just point out that all of
18 the criteria were chosen in the top four by some of the
19 respondents. So there was a lot of interest in all of
20 these approaches, some more than others.

21 --o0o--

22 OEHHA RESEARCH SCIENTIST DUNN: So the criteria
23 that were selected most often in the survey was measuring
24 chemicals that persist in the environment and can
25 accumulate. 63 percent chose that as among their top four

1 choices. And it was also of interest to people in the
2 workshops and teleconferences, although in that situation
3 it was mostly discussed in terms of the question of banned
4 chemicals, although most chemicals which have been banned
5 in the past but still are of concern are those that are
6 persisting in the environment.

7 The other criteria that was of greatest interest,
8 chosen by 57 percent in their top four, was measuring
9 chemicals to which pregnant women, fetuses, and young
10 children are likely to be especially sensitive. And this
11 was the criteria that was mentioned most often by the
12 participants in workshops and teleconferences. And a
13 similar issue was raised in one of the Email submissions.

14 --o0o--

15 OEHHA RESEARCH SCIENTIST DUNN: As I mentioned,
16 there was kind of a set of five that rose to the top. And
17 these were the other three that were of most interest to
18 people answering the survey. So the first one being
19 chemicals that are found in communities where people come
20 into contact with more pollutants. And that was also a
21 very strong interest at the workshops and teleconferences,
22 although that criteria itself was not specifically
23 presented at the workshops. In fact, people of their own
24 accord raised the issue in the different forums that
25 they're concerned about usually a specific community that

1 has a heavy burden of pollution.

2 Then the question of measuring new or emerging
3 chemicals and chemicals that are widespread in California,
4 both of those were also of strong interest in survey
5 respondents and at the workshops.

6 --o0o--

7 OEHHA RESEARCH SCIENTIST DUNN: So with respect
8 to the additional suggestions on criteria, as I mentioned
9 we had quite a large number of these, somewhere on the
10 order of 200 suggestions. So what I did was group them
11 into four categories to make it easier to understand what
12 are the concerns that are being -- were being raised by
13 the public. And what these groups are correspond to the
14 three criteria that are in the legislation - toxicity
15 related, exposure related, and laboratory related
16 criteria. And then a category that didn't really fall --
17 of suggestions that didn't really fall into any of those
18 groups.

19 --o0o--

20 OEHHA RESEARCH SCIENTIST DUNN: Within each of
21 those groups, there were different types of suggestions.
22 And so what I'll do is I'll go through the different types
23 and I'll give you some examples just to give you a flavor
24 of the types of suggestions that people were making.

25 So with respect to toxicity, some people made

1 suggestions with regard to the severity of the effect
2 driving the choice. So, for example, the more toxic the
3 chemical, the higher priority should be given.

4 A different person suggests that less
5 consideration is needed for chemicals that have been
6 studied a lot and have been determined to be relatively
7 harmless.

8 There were a lot of suggestions with respect to
9 the type of harm caused by the chemical. And these are
10 fairly wide ranging but include categories you may expect.
11 For example, chemicals that cause cancer, endocrine
12 disruption, neurological damage, and other types of
13 systemic harm was one person's suggestion.

14 Another person suggests chemicals that have
15 multi-generational effects. Suggestions regarding
16 endocrine disruption are very common, as well as immune
17 system toxicity.

18 Then a little less common, but also suggestions
19 that the program look at chemicals that are causing
20 allergic or asthmatic reactions such as fragrances.

21 --o0o--

22 OEHHA RESEARCH SCIENTIST DUNN: In this category
23 I also included some people suggesting -- suggested that
24 the program look at chemicals that are causing
25 environmental impacts on wildlife and plants.

1 Then the third category with respect to toxicity
2 has to do with the potential for cumulative effects. With
3 people suggesting that the program focus on chemicals that
4 may have synergistic or cumulative impacts. Another
5 suggestion, that commonly combined chemicals that together
6 create additional adverse risks be a focus of the program.

7 Then, finally, there's a category of suggestions
8 that really bring together toxicity and exposure
9 considered in sort of a form of hazard evaluation. So one
10 example might be that the screen be looking both by volume
11 and commerce and structural propensity to cause harm. A
12 different example, whether the chemical potentially could
13 cause adverse effects to public health at likely levels of
14 exposure. And then, finally, emphasize the potential risk
15 to human health. So that was brought up a number of
16 times.

17 --o0o--

18 OEHHA RESEARCH SCIENTIST DUNN: Now, many of the
19 suggestions have to do with exposure. In fact, the
20 greatest number came up in this group. And I've broken it
21 into six different types of suggestions. And I won't go
22 through examples for all of them but just a few to give
23 you the flavor.

24 So with regard to the extent of exposure, the
25 suggestion -- one suggestion is the percentage of a

1 24-hour day that someone is inhaling the substance. For
2 example, mattress or pajama flame retardants would be
3 eight or more hours at close contact.

4 But then there's also alternative suggestions
5 such as widespread exposure isn't a good criterion because
6 exposures differ in different places. For example, people
7 in San Francisco aren't exposed to some things that people
8 are in the Central Valley.

9 So there isn't necessarily agreement

10 Persistence, as we've seen, was the highest
11 ranked criterion of those that we presented. So people
12 also made comments about that. But I won't go through
13 those, except to note that there is a little bit of
14 disagreement with regard to chemicals that have been
15 banned -- have been historically banned versus looking at
16 chemicals that have been recently banned. No real
17 agreement on that. But, you know, some people favoring
18 both and some people favoring neither.

19 Then with regard to specific locations or sources
20 of exposure, this was in fact a category that had the
21 greatest number of suggestions in terms of how the program
22 might focus its resources. And there's a range of
23 opinions but examples include chemicals that are entering
24 our drinking water. Another person suggesting looking at
25 levels of naturally occurring carcinogens, mutogens, and

1 teratogens present in fruits, nuts, and vegetables. A
2 different person suggesting chemicals and vaccines in his
3 food. Another person, common household products and
4 garden chemicals.

5 So just to give you a sense. There's a lot of
6 interest in maybe choosing some kind of avenue of exposure
7 and focusing in on that.

8 --o0o--

9 OEHHA RESEARCH SCIENTIST DUNN: Then the other
10 set of exposure-related criteria really are in relation to
11 different kinds of populations at risk, such as people who
12 have a chronic illness or condition that will make them
13 more sensitive to the effects of chemical exposures. Or a
14 different set, populations who are at risk because of
15 intrinsic factors such as age or genetic factors such as
16 race.

17 Well, as we've seen, pregnancy and children is
18 rising to the top of the concerns. So it's not surprising
19 that there were also a lot of comments related to that.

20 With respect to race, however, we see comments
21 about that. An example being, consider the
22 disproportionate number of people of color who are exposed
23 to different types of toxics. So as we've seen, that's
24 also something that's come up.

25 However, we do have a comment on the other side

1 with urging the program to focus on generating a
2 statistically valid sample of individuals representative
3 of the state.

4 Then, finally, other types of populations at risk
5 that people were bring up as a possible focus for the
6 program are those who are at risk because of their
7 location or particular exposures that they face such as
8 communities exposed to high levels of toxic chemicals or
9 exposed workers. And just a couple of examples:
10 Fence-line residents, farm workers and farm worker family
11 members, and low income communities that may be at higher
12 risk of contacting these chemicals and have no knowledge
13 of them.

14 --o0o--

15 OEHHA RESEARCH SCIENTIST DUNN: With respect to
16 laboratory-related criteria, there were much fewer numbers
17 of comments on this regard. And there's just a few
18 different types that I've pulled out from the set that
19 were suggested. One includes looking at different types
20 of biomarkers that are available such as biomarkers of
21 effect, consider chemicals for which there are known
22 biomarkers, i.e., cholinesterases for pesticides as an
23 example.

24 With respect to the type of biomatrix sampled,
25 there were actually comments only about cord blood. So

1 the suggestion that we look at cord blood was brought up a
2 number of times.

3 And with respect to method availability, accuracy
4 and sensitivity, suggestions that we focus on chemicals
5 that can be measured with some precision, chemicals that
6 are not highly variable based on the time of day and other
7 suggestions of that sort.

8 With respect to cost, we have just a few
9 suggestions. But on both sides, consider costs, don't
10 consider costs

11 (Laughter.)

12 OEHHA RESEARCH SCIENTIST DUNN: Take your pick.

13 --o0o--

14 OEHHA RESEARCH SCIENTIST DUNN: So with regard to
15 criteria that didn't really fit into the categories of the
16 legislative criteria, there are a few that relate to the
17 criteria that we suggested such as focusing on chemicals
18 where the results will allow for intervention or for the
19 ability to assess the program's effectiveness, to look at
20 emerging chemicals, to look at chemicals measured by the
21 national program or not. With respect to the chemicals
22 measured by the national program, like cost, people kind
23 of fall onto both sides, whether they think that's the way
24 the program should go or whether the program should choose
25 to, for example, here's one, extend the CDC list instead

1 of duplicating it.

2 And then there are also suggestions of other
3 issues that could help the program focus, such as looking
4 at chemicals that have safe alternatives. There were also
5 issues raised with respect to communicating the results
6 that the availability of the information to -- of
7 sufficient information to place what has been measured
8 into a context of human health risk could potentially
9 drive what we would choose to monitor.

10 And then there were other issues raised related
11 to chemicals that are highly profitable to industry,
12 versus other ideas about looking at chemicals that there's
13 a lot of public concern about, and one suggestion that the
14 program develop criteria for not only putting chemicals on
15 the list but removing them.

16 --o0o--

17 OEHHA RESEARCH SCIENTIST DUNN: So just to sum up
18 with respect to the public input, we're definitely seeing
19 a lot of interest in persistent chemicals, emerging
20 chemicals, and specific sources of exposure such as
21 drinking water, indoor air and consumer products. There's
22 also a focus on populations at risk, including children,
23 pregnant women, fetuses, and communities with heavy
24 exposure burdens. And then with respect to toxicity, an
25 interest in focusing on particular endpoints such as

1 endocrine disruptors, carcinogens, or reproductive toxins,
2 and higher risk exposures.

3 --o0o--

4 OEHHA RESEARCH SCIENTIST DUNN: So turning now to
5 the process that was undertaken to get input from state
6 staff. As it was described earlier, there were a number
7 of agencies that were contacted and asked to provide input
8 to a set of questions. And the set of agencies was
9 described earlier. Just to remind you, it includes all
10 the boards and departments within Cal EPA as well as a
11 number of programs within the Department of Public Health
12 and some regional agencies.

13 The possible criteria that I went through just a
14 minute ago were the same criteria that were presented to
15 state staff with just some variation in the language, but
16 the same concepts were presented. And state staff,
17 similar to the public, were asked to choose their top four
18 criteria.

19 --o0o--

20 OEHHA RESEARCH SCIENTIST DUNN: As was mentioned
21 earlier, there were multiple programs for different boards
22 and departments that participated. And these were
23 primarily phone interviews but also some written
24 responses. And with respect to criteria, there were
25 approximately 35 different responses that were -- that

1 I'll be going through the results.

2 --o0o--

3 OEHHA RESEARCH SCIENTIST DUNN: So, in terms of
4 the criteria selected most often by state staff, the top
5 choice was the focus on chemicals that affect pregnant
6 women, fetuses, and young children, similar to what we saw
7 with the public. And then there were also strong
8 interests in chemicals that are widely used in California,
9 new or emerging chemicals, and chemicals that persist and
10 bio-accumulate.

11 Just to mention here that, not to detract from
12 this at all, but that the survey responses --
13 approximately a third of the survey responses were from
14 government participants. So that there is some overlap
15 between the public -- what we're calling the public input
16 and the state staff input. But it's our understanding
17 that there's only a few actual individuals who overlap.
18 There might be some people who filled out the survey who
19 were also interviewed, but for the most part they're
20 different individuals. But there is some overlap.

21 --o0o--

22 OEHHA RESEARCH SCIENTIST DUNN: So I'm not going
23 to go through the categories, but just to give you a
24 flavor in the general groupings about other types of
25 suggestions that we received from state staff with regard

1 to how the program might focus in terms of selecting the
2 priority chemicals from this large set. So there was some
3 suggestion that the program might focus based on
4 biological effect, for example, as we keep hearing,
5 focusing on chemicals that are endocrine disruptors,
6 focusing on chemicals that disrupt signaling pathways
7 important during development, chemicals that trigger
8 autoimmune responses or affect thyroid hormone. Those
9 were all effect-related approaches that were suggested.
10 Also the idea of looking at chemicals for which there is a
11 marker of effect such as perchlorate and thyroid
12 disruption.

13 --o0o--

14 OEHHA RESEARCH SCIENTIST DUNN: With respect to
15 the exposure-related criteria suggestions, there was
16 really an emphasis on the need for community studies and
17 attention to environmental justice. So that's a concern
18 within the government as well as the public input.

19 And to focus on chemicals that are important in
20 all the relevant media. So media was a concern but not
21 any one particular media coming out as most of concern.

22 And then again the question of whether to include
23 persistent chemicals that have been banned for decades in
24 the United States was raised.

25 --o0o--

1 OEHHA RESEARCH SCIENTIST DUNN: With respect to
2 laboratory, there were a number of different kinds of
3 suggestions, some with an eye to making the most of
4 limited resources. There are suggestions that the program
5 might want to do some preliminary studies that would
6 include broad investigative screening, looking at peaks in
7 a sample instead of going in with just a set of chemicals
8 that you wanted to find, but to look at what all is coming
9 out in some set of preliminary studies to kind of get an
10 idea about things that we might not have on our radar that
11 might be showing up in people.

12 Then the idea of looking for -- looking at
13 certain chemicals as sentinels for other groups. As was
14 discussed earlier, this may or may not save as much money
15 as some people would hope that it would.

16 And then the idea of foul-up tests for
17 individuals with high levels, for example, of metals to do
18 speciation rather than doing speciation for all
19 participants, again as a way to save money.

20 --o0o--

21 OEHHA RESEARCH SCIENTIST DUNN: Then with regard
22 to criteria that didn't really fall into those categories,
23 the idea of assessing regulatory importance was -- well,
24 it wasn't really -- assessing the effect of regulatory
25 programs is what that really is supposed to mean -- was

1 considered valuable but was seen as a difficult thing to
2 do well, and that you couldn't just assess one time
3 whether a program was effective. You'd have to repeat it
4 over and over. So it's a good idea, but is it really
5 worth the resources kind of question.

6 And then the idea of identifying chemicals where
7 there can be some kind of intervention. And there's just
8 an example of a comment. Do we know what to do with
9 biomonitoring data? Do we have resources to take action?
10 So just the idea of, what are we going to do with these
11 data once we have them?

12 And then also the idea of looking at what other
13 groups are doing, such as the European Union, which as
14 part of our program this week. And then just urging us to
15 be looking ahead to the future and anticipating emerging
16 concerns, to have the program focus on the future in that
17 sense.

18 --oOo--

19 OEHHA RESEARCH SCIENTIST DUNN: So just to sum up
20 the highlights from the state staff input. The top
21 choices of the criteria were related to exposure, focusing
22 on pregnant women, fetuses, and young children, looking at
23 emerging chemicals and widespread exposures, and an
24 interest in community-based studies.

25 Then with respect to toxicity, the idea that we'd

1 think about what the endpoints are, maybe focusing on
2 chemicals that have particular types of endpoints. And
3 with respect to the laboratory, maybe taking a somewhat
4 more exploratory approach and possibility of choosing
5 sentinel chemicals. And then an emphasis on focusing on
6 chemicals for which there is a possibility for
7 intervention.

8 And just as I mentioned earlier, there is some
9 small amount of overlap. But in general I think what
10 we're seeing is that this -- this is very consistent
11 between the public and the state staff, the kinds of
12 priorities that people see as, you know, preferable for
13 this program. And that these are directions that the
14 program might choose as a way to start to focus in on some
15 subset.

16 And I just would like to emphasize that really
17 what we've done is just start a dialogue with the public
18 and also with state staff. As we've seen today, you know,
19 there's people like CalOSHA who might have really
20 worthwhile input that we haven't -- you know, we're just
21 starting to become aware of today. And this is a dialogue
22 that we need to keep going with and -- because there's
23 valuable insights that can be gained from getting more
24 people involved.

25 And that's the end of my comments.

1 CHAIRPERSON MORENO: Thank you, Amy. We have a
2 few questions for you.

3 OEHHA DIRECTOR DENTON: Amy, I wanted to ask you.
4 When you actually look, when we look at the statute, when
5 we look at the bill that was passed by the Legislature and
6 when we look at the bill that was passed by the -- that
7 was signed by the Governor, the goals of the program --
8 there are, you know, four main goals of the program. But
9 one of them is that there be -- there should be a feedback
10 to the state regulations which involve environmental
11 contaminants. So it's a clear goal of the biomonitoring
12 program.

13 The other is the -- well, there's one that's
14 establishing trends. But the other is to determine the
15 levels of environmental contaminants in a representative
16 sample of California. We'd thought of that so it was just
17 statewide. And this doesn't seem to match sort of the top
18 criteria that you derived from your conversations with
19 the, you know, workshops and with state staff. So I'm
20 sort of wondering how we mesh the goals of the program as
21 clearly defined within the legislation and the criteria
22 which seemed to be rising to the top from the public
23 input.

24 OEHHA RESEARCH SCIENTIST DUNN: Well, I think one
25 thought on those lines is that -- I mean when we went into

1 these, say, for example, in the public forum -- and just
2 to clarify in case there's any confusion. I was not the
3 person who talked to state staff. That was Gail Krowech,
4 who presented earlier. And I just am presenting her
5 results on that effort.

6 But with regard to when we went to the public,
7 the idea that we had was that the program has limited
8 resources and almost what kind of question should the
9 program try to answer? I think -- you know, I could only
10 speak for myself. But I think, you know, if we had
11 endless resources, of course we'd want to do everything.
12 We'd want to, you know, do this statewide sample as well
13 as we could possibly do it with, you know, many thousands
14 of people and also do focused community studies. But, you
15 know, there's a reality check on that, and the question
16 of, "Well, if we do have limited resources for the
17 foreseeable future, what is going to be the most valuable
18 thing for the program to do?" And these are the kinds of
19 things that came out of it, you know, that people are
20 really concerned about exposure to pregnant women. I mean
21 I think that's clear. And I don't -- you know, it's
22 not --

23 OEHHA DIRECTOR DENTON: Well, somehow we have to
24 keep the goals as written into the legislation as part of,
25 you know, as part of the final criteria.

1 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

2 Yeah. And maybe this didn't come across quite as
3 clearly as we would have wanted to. But that these -- I'm
4 sorry. Can you hear me?

5 Yes, okay.

6 -- that with respect to this particular survey,
7 we're looking at criteria that the Panel could use or that
8 we could use to think about adding chemicals, like for
9 either designated chemicals or thinking about priority
10 chemicals. And so we weren't specifically asking about,
11 say, the study design, for example, like looking at the
12 statewide versus community. But the issue with respect to
13 community studies came up, because there was some thought
14 that, say, for some affected communities -- or community
15 members who might be responding, it's the chemicals or
16 processes in their communities that might influence the
17 recommendations that they would make to us as well. And
18 so we wanted to include an opportunity for members of
19 specific communities to indicate chemicals that might be
20 important to them, that that might feed back into the
21 overall process of designating chemicals.

22 So that may be something that caused a little bit
23 of lack of clarity here. But that was why that aspect
24 showed up in this.

25 PANEL MEMBER WILSON: It seems to me -- I have a

1 question, Joan -- that it's consistent with the criteria
2 related to exposure that the finding here on public's
3 interest around persistence in bioaccumulation is a
4 measure of exposure in -- you know, it's a
5 physical-chemical property, but it would fall under the
6 category of exposure, if you will, both over time and
7 geography. Is that your sense? Or I'm not clear what the
8 confusion is.

9 OEHHA DIRECTOR DENTON: Well, I guess I see sort
10 of a mix in the public and then in the staff, that, you
11 know, the focus on sensitive groups, which is so
12 critically important, the sensitive -- you know, the focus
13 on community monitoring which is so critical, the emphasis
14 on persistence and bioaccumulative and all of these
15 things. And then I kind of focus on, okay, reminding
16 myself the goals of the program which we're responsible
17 for. You know, we're responsible for the goals of the
18 program, which specifically says that we need to give
19 feedback to regulatory programs on, you know, the
20 effectiveness of their regulations, which wasn't ranked so
21 high or it seemed to be difficult -- good idea, the
22 difficulty -- I mean that's really one of the goals of the
23 program. And the other being, you know, the sort of the
24 representativeness sort of -- we just have to keep it in
25 mind as we forward that these are really the specifics

1 within the statute that we're responsible for. And
2 however they can mesh and however, you know, we can build
3 on this to address, you know, sensitive subgroups and all
4 of that, that's going to be very important.

5 PANEL MEMBER QUINT: The legislation does have at
6 the end I think resources permitting something about
7 exposure groups or community groups. So people may have
8 seized on that and through their work whether -- I mean
9 the public, and then also people who are in the state
10 agencies may -- that may be more important to them than a
11 random sample such as the one that's been done by CDC.

12 So, yeah, I think, you know, the emphasis may be
13 different, but it's not contrary to what's in the
14 legislation totally. And the regulatory aspect of it, I'm
15 not sure if it means -- I mean for lead, for instance, I
16 mean if we -- you know, the CDC is shown this dramatic
17 change in blood lead levels in children. So to me
18 that speaks to the -- you know, the effectiveness of a
19 regulatory program. So it may just mean one aspect of a
20 regulatory program, you know, the fact that you banned --
21 we banned one form of the congener of the flame retardants
22 that if we see, you know, decline in those, that that
23 shows that it's effective. So, you know, it's a little
24 bit confusing about program -- regulatory program
25 effectiveness versus a chemical that's been regulated or,

1 you know, something's been done for that particular
2 chemical by a program like the ARB or something.

3 OEHHA RESEARCH SCIENTIST DUNN: I think just to
4 go back maybe one more minute to what you were saying,
5 Joan. I think it might have gotten lost. I was trying to
6 go fast through a lot of information because I know it's
7 late in the day.

8 But one of the things in terms of being able to
9 compare, you know, if we have a representative sample
10 across the population, if we are going to use those
11 baseline results to then look at subpopulations, you need
12 to have looked at the chemical in the broad-based sample
13 that you want to look at in the subpopulation. So that I
14 think is one of the drivers. So it doesn't necessarily
15 mean that we would only sample pregnant women. But if we
16 look at chemicals in the broad population that are then
17 going to be of interest in pregnant women, just to use
18 that example, then we have the baseline that we need. So
19 I think that that's part of the issue.

20 CHAIRPERSON MORENO: I think Gina has a question.

21 PANEL MEMBER SOLOMON: Well, I guess it's more a
22 comment, which is that, you know, one of the things when
23 you design a questionnaire and then, you know, you
24 actually administer it and you get the results back, you
25 sort of think then about how you asked your questions.

1 And one of the things that's a little tricky about
2 criteria for priority setting is that there are criteria
3 that are sort of, you know, more universally appealing
4 perhaps for priority setting and others that are perhaps
5 more effective for priority setting. And when you think
6 about, for example, chemicals that affect pregnant women
7 and children and you look down the CDC list, you realize
8 that everything on the CDC list actually really fits that
9 criteria. And so it's not -- and so in a way, okay,
10 that's good because it means we could pretty much pick
11 anything from the CDC list. But it actually is maybe a
12 little less helpful than I would like to us as a panel.

13 On the other hand, you know, I think that there
14 are -- I mean we should be looking at criteria that are
15 sort of useful for helping us winnow down the CDC list,
16 and at the same time keeping in mind are we still
17 fulfilling some of these other criteria that we hear are
18 being -- you know, as being important from the public.
19 And so I think, you know, there are some other criteria.
20 In fact, maybe criteria such as those that we talked about
21 before around the designated list, about is
22 there -- because fundamentally at least from my
23 perspective, I don't want our program to be CDC light, you
24 know, where we just sort of find exactly -- you know, look
25 for stuff that CDC looked for and find exactly what CDC

1 found in California and spend a lot of money doing so and
2 a lot of effort.

3 And so to my mind if we want this program to
4 actually be something, we want to be really thinking
5 about, well, what's different? You know, which chemicals
6 do we want to look at where we actually are more likely to
7 find either higher levels of exposure here in California
8 or lower levels of exposure, and a contrast to what CDC is
9 finding.

10 And so that was what I ended up more recently
11 coming down to is maybe a useful funnel. And you can
12 actually go through the CDC list, and I did, and come up
13 with a pretty, you know, short list. Maybe not as short
14 as it needs to be.

15 (Laughter.)

16 PANEL MEMBER SOLOMON: But a shortish list of
17 chemicals that would potentially fit that criterion, and
18 all of them actually would be problems for kids too.

19 CHAIRPERSON MORENO: Do you have a question?

20 PANEL MEMBER WILSON: Yes.

21 CHAIRPERSON MORENO: Okay, great.

22 PANEL MEMBER WILSON: Now, first a comment. One
23 thing I found interesting is that the European Commission
24 in going through a similar process but more in depth over
25 a longer period of time came up with very similar findings

1 in their public survey process. So they -- the question
2 primarily of substances that are persistent in
3 biocumulative rose to the top of the priority list across
4 the EU. And that is now reflected in their REACH
5 regulation, where substances that are very biocumulative,
6 very persistent, irrespective of toxicity, are now subject
7 to authorization for specific uses if they are sold in
8 more than one ton per year in the EU. And there's
9 questions about how you define a vPvB that are problematic
10 that we're going to have to deal with here in California
11 about what are the technical criteria that we set for what
12 is a persistent biocumulative substance.

13 But I think -- I mean the fact that you sort of
14 came up with the same finding is interesting to me. And I
15 think it's -- you know, it's consistent with what's been
16 seen in other places from the public.

17 So then I had a question. And, that is, from the
18 state staff it's an interesting suggestion that seems to
19 be counter to where we're going here, that came up
20 yesterday. And that was that rather than looking for bad
21 actor chemicals or high volume chemicals or what have you
22 and then looking for those in humans, what seems to be
23 suggested here is that we do more screening and, you know,
24 sort of in the scan mode on the GC mass spec, and then
25 look for the peaks and identify a sentinel's chemicals and

1 go that route.

2 And I remember Peter having some -- Myrto having
3 some discomfort with that. But I'm just wondering if
4 there's -- if you can say any more about that as an
5 approach. And is it something we should be thinking
6 about?

7 OEHHA STAFF TOXICOLOGIST KROWECH: Yeah. This
8 was one person's suggestion. So, first of all, it wasn't
9 a general, you know -- or there weren't many people who
10 are saying that. But the idea was, were there chemicals
11 that we had no idea were really important that we would be
12 missing by looking for the bad -- you know, by developing
13 a list of what we thought was bad? So to basically do
14 some initial screening to see if there were other
15 chemicals that weren't on our list. That was sort of the
16 idea.

17 PANEL MEMBER WILSON: Okay. Is Peter still here?
18 Could you comment on that as sort of an
19 analytical method that we are thinking about?

20 CDPH ENVIRONMENTAL HEALTH LABORATORY BRANCH CHIEF
21 FLESSEL: The only thing I would say is to reflect what
22 John Osterloh said to you yesterday when you brought that
23 up. I think it's a very interesting idea and not
24 something that we want to say is not technically feasible.
25 But John pointed out that you lose a lot of sensitivity

1 when you do a total ion scan. And, therefore, we perhaps
2 wouldn't even find those unusual chemicals if we used that
3 approach, unless they were present in huge amounts.

4 PANEL MEMBER SOLOMON: You know, in my
5 conversation with Larry Needham about this issue, he said
6 that when they run the GCMS in sort of scan mode, they
7 actually do see a lot of peaks despite the lower
8 sensitivity. I think in the conversation with him the
9 bigger problem was matching them to known chemicals in the
10 chemical library, and that they were actually having some
11 trouble doing that. But it was something that, you know,
12 he was very interested in and, again, said, well, it's
13 feasible and it's something to consider, resources
14 permitting.

15 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF
16 PETREAS: If I can answer what Peter said in addition to
17 the sensitivity. Before you reach the GCMS you learn a
18 lot of processing. And during that processing you already
19 are eliminating a lot of chemicals. So you'll be seeing
20 maybe some peaks that fall within the sense certain
21 categories of solubility, and so forth. So, again, you're
22 limiting what you're looking for.

23 PANEL MEMBER SOLOMON: All right. And he said
24 you have to do minimal cleanup of the samples if you're
25 going to run it in scan mode.

1 DTSC ENVIRONMENTAL CHEMISTRY BRANCH CHIEF

2 PETREAS: So again, going back if something is in huge
3 amounts, it may persist through all this cleanup, but not
4 everything.

5 PANEL MEMBER BRADMAN: It seems to me that some
6 of these ideas are good for exploratory work or, you know,
7 a graduate degree. But I wouldn't use something like that
8 to drive a program, you know, starting with unknowns,
9 trying to identify the unknowns, and then taking that
10 where that leads you. But it sounds -- to me it sounds
11 interesting to pursue as kind of a learning exercise, and
12 potentially could add, you know, valuable content and
13 target analytes. But, again, I would be hesitant to use
14 something like that as a driver for a program.

15 CDPH BIOCHEMISTRY SECTION CHIEF SHE: On what
16 Peter and Myrto said, identify high and low and identify
17 targeted compound, two different things. Target
18 analysis is a more routine program, identify the high and
19 low is more on the research end.

20 CHAIRPERSON MORENO: You know, before we have any
21 more comments I want to quickly -- if there's anyone else
22 out there that's wishing to make certain public comments,
23 we'll get to you in a few minutes.

24 Did you bring your purple forms?

25 Dr. Culver, did you have a comment?

1 PANEL MEMBER CULVER: No.

2 CHAIRPERSON MORENO: Okay.

3 All right. Further questions of Amy and
4 Department staff from the Panel?

5 PANEL MEMBER BRADMAN: I did have one comment. I
6 thought this was useful and helpful. And it also kind of
7 just reinforces some of the discussions that we've had
8 earlier today.

9 CHAIRPERSON MORENO: Thank you very much, Amy.

10 PANEL MEMBER QUINT: I just wanted to say too
11 that it'd be interesting to see when Gail summarizes
12 her -- or has comments about her chemicals whether or not
13 the actual chemicals that were recommended by State staff
14 matched the descriptors of persistent and, you know, of
15 interest in pregnant women. Because we had all these
16 chemicals, and now we have just the criteria that they
17 were interested in. So it would be very interesting to
18 see whether or not the chemicals actually match what they
19 listed as their criteria.

20 OEHHA RESEARCH SCIENTIST DUNN: It is the case
21 that the chemicals and the criteria didn't necessarily
22 come from the same people.

23 PANEL MEMBER QUINT: Oh, okay. That would be --
24 well, maybe they shouldn't match then.

25 (Laughter.)

1 CHAIRPERSON MORENO: All right. Well, at this
2 time we're going to have some public comments. And
3 following public comment we will have one more opportunity
4 for discussion -- general discussion by the Panel before
5 George will summarize the afternoon for us.

6 So can I -- Let's see. Hasheem Bason.
7 Could you come on down. Thank you.

8 MR. BASON: Hello. My name is Hasheem Bason
9 I'm with Parents for a Healthy Community. And we're a
10 community-based organization based in West Oakland. And
11 so I'm really just now becoming familiar with
12 environmental justice and environmental issues.

13 But I would like to -- I went to the first
14 biomonitoring meeting I think they had in Preservation
15 Park. And I believe you were there, Dr. Solomon, and a
16 few other people. And I just wanted to reiterate how
17 important it is for this group to take into consideration
18 community-based organizations and the people you serve.

19 Presently I live in West Oakland. And if you
20 live in West Oakland, the statistics say that your chances
21 of dying 15 years ahead of time because of the pollution
22 and other social issues in that area. So I believe
23 biomonitoring would be very, very important in areas like
24 that to see how we can stem those types of deaths and
25 things like that.

1 So I think it's very important that -- like I
2 said, the community-based organizations are embraced, and
3 that we address those issues so that we can do a better
4 job there and so people can have a better understanding.
5 So that when you do come to these organizations and these
6 communities and try to gather this information, that you
7 can get good information because people will be free to
8 let you gather that information. Because all this will be
9 null and void if can't really serve the people who you're
10 trying to serve. You're just trying to get information or
11 you're trying to get samples and you're trying get people
12 to get people to get an understanding of what you're
13 really trying to do and how it really trying to do and how
14 it really improves the health of everybody in the
15 community, which improves the health of everybody in the
16 world basically.

17 So that's all I have to say basically.

18 Thanks a lot.

19 CHAIRPERSON MORENO: Thank you.

20 Okay. Mr. Davis Baltz.

21 MR. BALTZ: Davis Baltz with Commonweal again.

22 Thanks again for the chance to comment.

23 I thought that your discussions this afternoon
24 mirrored quite closely some of the feedback that came from
25 the public and staff interviews on the priorities for the

1 program. And so I thought that was fortuitous.

2 And I want to just -- you know, Tom brought this
3 up about screening being an art. And we heard yesterday
4 about -- from Canada and Germany. They talked about
5 selecting chemicals being an art and a science. And so
6 I'm quite sure you're not going to get dogmatic and not be
7 able to kind of go back and review the directions that
8 you're going. But this is going to have to be somewhat of
9 a creative process on which chemicals are ultimately going
10 to be biomonitored, for I support the inclusive nature of
11 the designated chemicals list that was mentioned. And I
12 don't think that you want to set too many informational
13 needs on that list, so that it's so onerous that you
14 burden staff with a lot of extra work before you can even
15 get to the point of prioritizing chemicals.

16 I think it's important to consider trends data
17 that will be useful for California. So another thing that
18 you might consider when we get down to picking priority
19 chemicals is, what do you want to know over a period of
20 time? And you're going to make a commitment to biomonitor
21 these chemicals over a few different cycles.

22 Then, I think it's also important to just
23 remember that the program is according to the statute
24 ultimately responsible for making the decisions about the
25 program. And of course your guidance is going to be

1 crucial for that. But the state's ultimately going to
2 have to retain some prerogative to biomonitor potentially
3 on an emergency basis, as CDC has done, when there might
4 be some public health emergency and biomonitoring
5 information is going to be necessary on a short-term
6 basis.

7 Dick Jackson talks a lot about how that would
8 have been so useful when the spill up in Dunsmuir
9 happened. And whatever chemicals that may be, if it
10 happens, may not be on the priority list or even the
11 designated chemical list. So the state is going to have
12 some leeway, I think, to have to respond to something like
13 this.

14 And this sort of also speaks to some of the
15 priority chemicals that might be chosen.

16 When I was biomonitored myself in 2000, we
17 didn't -- we thought we'd pick up a panel that would sort
18 of reflect all the chemicals of concern that we knew about
19 at the time. But we didn't check for PBDEs, we didn't
20 check PFCs, and we didn't check for Bisphenol A, because
21 those -- they hadn't emerged on the radar yet. And so
22 that's only a handful of years ago, and I'm sure we're
23 going to see some more examples like that.

24 And then, finally, I just want to thank all of
25 you for your work. And in terms of how regulatory

1 agencies are informed or reported back to from
2 biomonitoring, I think that will sort of in many ways
3 answer -- those questions will be answered as the program
4 starts to generate data. And the most important thing is
5 for there to be absolutely impeccable science that
6 everyone can have confidence that when this program
7 generates data, everyone knows that it's accurate, and
8 then we could use that to have further conversations and
9 make further decisions.

10 So thanks again. We look forward to your next
11 meeting.

12 CHAIRPERSON MORENO: Thank you, Davis.

13 Okay. LaDonna Williams, are you still here?

14 Rebecca Sutton, are you still here?

15 Thanks for staying with us.

16 DR. SUTTON: Sure.

17 Dr. Rebecca Sutton with Environmental Working
18 Group again.

19 So I just had a few quick comments for you. I
20 wanted to share our process, because we do biomonitoring
21 studies, so I wanted you to know how we prioritize
22 chemicals.

23 What we end up doing is we synthesize data from
24 over 50 different data bases on toxicity, persistence,
25 biocumulation, consumer product use, production volume

1 regulations. And let me throw in some stuff from the
2 literature on these smaller biomonitoring studies. And we
3 use all of this in a great big database that helps guide
4 our decision-making process on which chemicals we want to
5 test and which methods we want to develop.

6 We've actually tested over 550 different
7 chemicals now in different kinds of samples.

8 So California could come up with a similar system
9 to help guide their process.

10 I'm not really suggesting right now that you want
11 to do that, because it seam like you're starting to come
12 to some kind of census already on some of the chemicals
13 you want to start looking at. But it could help, both by
14 a designated chemical list and the priority chemical list
15 evolved in the future.

16 And another comment I had to make is, umbilical
17 cord blood testing has come up several times, and we're
18 big advocates for that. We think it would be a great
19 thing for you guys to focus on. And of course if you were
20 to focus a lot of your resources on testing that
21 particular matrix, then that would then influence the
22 chemicals you'd want to prioritize for study, for the same
23 reasons that Asa Bradman brought up, you know, the
24 different limitations like the -- content.

25 So that's it.

1 CHAIRPERSON MORENO: Thank you.

2 Okay. Meg Schwarzman.

3 DR. SCHWARZMAN: Thanks. I'm Meg Schwarzman.

4 I'm a family physician and also a researcher at UC
5 Berkeley Center for Occupational and Environmental Health.

6 I really admire the process that the state staff
7 and the Panel is going through to address this really
8 complex project that has such potential. And it's been
9 really inspiring to hear the broad range of the discussion
10 both today and yesterday. And so my comments are just
11 very limited.

12 The main issue I wanted to raise is exploring the
13 possibility of linking the biomonitoring project with
14 California Disease Registries. And the reason I raise the
15 issue now is because of the possibility of data that could
16 be collected sort of in the design phase of the
17 biomonitoring project. If there's data that could be
18 collected through the biomonitoring program, that would
19 help close the loop on some of the exposure and disease
20 links that were very, very challenged in closing. And
21 that sort of recognizing that drawing conclusions about
22 the causal links between exposures and disease is really
23 difficult. But we potentially have the opportunity to get
24 some insight into some of those associations. And this
25 overlaps a bit with what Davis Baltz mentioned earlier

1 about targeting substances which are associated with some
2 health effect of concern.

3 And then also it was mentioned just now in
4 looking at substances for which there's a biomarker of
5 affect, such as, you know, thyroid function or something
6 and whether those belong at all in the design phase of
7 choosing substances or of the other questions that should
8 be asked at the same time as the sampling is done.

9 It risks the issue that Gina mentioned about
10 looking for the keys under the lamppost. But it also --
11 you know, if we were limited to just those for which we
12 have specific concern because of disease effects or
13 something, but it also raises the opportunity to
14 potentially close some of those links or inform that
15 process.

16 And the other one is just a brief comment,
17 because this has already come up before, which is if in
18 light of the very limited resources, that it is sort of
19 intriguing, as both Mike and Asa mentioned, to consider
20 maybe in a first phase doing a -- primarily an umbilical
21 cord blood monitoring project and whether because of some
22 of the issues that Asa mentioned it would be helpful to
23 add breast milk to that. I don't know whether that helps
24 address some of those issues of the more lipophilic
25 substances and whether you can catch them that way.

1 But it strikes me as something that would add to
2 what the CDC does because it's a discrete project that the
3 CDC doesn't do. Also a very noninvasive sample
4 collection, and potentially available -- and the logistics
5 of this I don't know. But I know that umbilical cord
6 blood samples have been collected for at least a decade,
7 if not 15 years or more. And whether there's a
8 possibility of using stored samples to get before and
9 after or comparative and trend data in advance of when
10 we'd be able to through this program and its start date in
11 2011 or whatever it will be.

12 So thank you for such an interesting discussion
13 today. And I look forward to it continuing.

14 CHAIRPERSON MORENO: Thank you, Dr. Schwarzman.

15 All right. And I have one more. It's the last
16 one.

17 If there's anyone else wants to provide comment,
18 bring down your card.

19 Andrea Ventura. Are you still here?

20 MS. VENTURA: I'll skip mine, because actually
21 Davis said a lot of what I wanted to say. So I will defer
22 to his comments.

23 CHAIRPERSON MORENO: Okay. Thank you.

24 All right. With that, I'm going to bring it back
25 to the Panel. We have some time to end the day with some

1 general conversation. If you have final comments that you
2 want to bring to the Panel for discussion, we just --
3 anything that we didn't finish on the prioritizing
4 process, and now's the time.

5 PANEL MEMBER BRADMAN: Can we also speak outside
6 the selection issue, or do you want to hold that off --

7 CHAIRPERSON MORENO: Sure, certainly.

8 No, go ahead.

9 I'm sorry. How far out of the --

10 (Laughter.)

11 CHAIRPERSON MORENO: We should speak to the
12 agenda. That's what we're supposed to publicly --

13 PANEL MEMBER BRADMAN: Well, funding issues,
14 resource issues?

15 CHAIRPERSON MORENO: Oh, go ahead. Bring it up,
16 yeah.

17 PANEL MEMBER BRADMAN: Well, I just wanted to
18 bring up for discussion whether as a panel we want to
19 consider writing a letter to the Governor, to the
20 Legislature, or to discuss how we might encourage
21 additional resources for the program. I mean the concern
22 I have is that, given the resources that are available,
23 the program may not be able to fulfill the obligations of
24 the legislation. And if that's -- you know, part of our
25 role is to advise on what needs to be done to fulfill

1 that, you know, resources are part of the picture. I know
2 in our own private lives we spend a lot of time raising
3 money for the work that we do. And at the same time
4 those -- you know, we know that those resources are
5 essential for accomplishing those goals. And I think
6 that's true for this program.

7 So I don't know, if you want to defer that for a
8 few minutes and talk more about sample selection. But I
9 would like to have a discussion about that.

10 CHAIRPERSON MORENO: Well, I, for one, I am
11 interested in looking into what our options are to
12 maximize funding -- revenues for the costs that the
13 laboratory would like to incur and can't incur because
14 it's just not in the budget. That might be one option. I
15 would propose that we kind of expand that to a little more
16 general in terms of looking at our options, which would
17 include, for example -- and then we may come up with more
18 options because we have to determine our capacity as a
19 guidance panel what do we have authority to do. And the
20 other thing would be we'd want to work with the Department
21 staff to determine what is really politically feasible and
22 would suit their interests and make sure that we present
23 the efforts in the best light possible.

24 So I'd be very interested in doing something like
25 that.

1 PANEL MEMBER MCKONE: I think it's an
2 interesting -- an important question. And of course we're
3 a science panel. So I think the point would be -- the
4 commentary would be to what extent is the science that
5 we're looking at jeopardized by insufficient funding. And
6 I think that's really what drives it. So it wouldn't be a
7 political letter. It would really be a scientific letter.
8 And I think that's the best way to do it. And let me
9 just, you know, if you look at some of the comments, you
10 know, they have credibility. In the scientific community
11 to have credibility with local communities, you have to
12 have enough resources. Otherwise it's going to fall short
13 of meeting basic requirements and we'll lose credibility,
14 not just in science, but also credibility with the
15 community groups that are looking to this to provide some
16 information for what's happening in their community.

17 OEHHA DIRECTOR DENTON: I think you can -- I
18 think you have latitude. You're here to advise us.
19 You're here to advise the state. You're appointed
20 individuals who are representing different scientific
21 expertise. And you're part of an advisory group which
22 is -- well, you are the advisory group to accomplish the
23 program. So I think that what you would choose to do and
24 how you would choose to weigh in and how you would chose
25 the make your opinion known I don't think is outside of,

1 you know, your charge and your responsibilities as the
2 Science Guidance Panel.

3 The situation is that the General Fund is in
4 desperate straits, as we all know. And, you know,
5 anything is up for grabs. I mean, you know, it continues
6 to be a volatile situation as we go into this year. And
7 it's just the reality of the fiscal situation that so many
8 programs find themselves in. But that being said, I think
9 again as a panel, you have a -- you know, you have a
10 latitude to express your opinion.

11 PANEL MEMBER SOLOMON: May I make a proposal?

12 I'm not sure that we can -- the budget process is
13 kind of active right now. So if we were, for example, to
14 approve a letter at our next meeting, that would be rather
15 late. And I'm not sure the degree to which we can do a
16 group sign-on letter in between meetings. But what
17 certainly could happen is that, you know, one or a couple
18 of members of the Panel could draft such a letter, send it
19 in to the relevant committees, and then circulate it to
20 the rest of the Panel as an FYI. And then any other Panel
21 member that wanted to write a similar letter and sign it
22 and send it in could also do so. And so that would not be
23 a letter from the entire Committee but rather it would be
24 a number of letters along the same lines from members of
25 the Committee. I think that might be the most expeditious

1 way to move this forward, unless others have a different
2 thought.

3 I think it's a great idea. I think it really is.
4 I mean if we want to be able to have this program with any
5 kind of viability or credibility, it needs money.

6 CHAIRPERSON MORENO: George, do you have a
7 comment?

8 OEHHA DEPUTY DIRECTOR ALEXEEFF: George Alexeeff
9 with OEHHA.

10 Well, we work with a number of scientific panels
11 who often want to report information back. And often what
12 they do is they -- they agree in general terms to have the
13 Chair write a letter, possibly with input from one or two
14 additional people. So that's just another option.

15 CHAIRPERSON MORENO: I would like to maybe just
16 amend that to, with George's recommendation, that perhaps
17 that the Panel could agree to have the Chair work with the
18 Director and -- well, this is what I'm interested in. Is
19 it necessary to work with the Director and run it by
20 counsel?

21 OEHHA DIRECTOR DENTON: No, no, no, no.

22 (Laughter.)

23 OEHHA DIRECTOR DENTON: I think you want to
24 represent your Panel. You want to be this independent
25 panel, which is expressing --

1 CHAIRPERSON MORENO: Okay. That's fine.

2 OEHHA DIRECTOR DENTON: Certainly, you know, if
3 there was technical issues or things, you know, you
4 weren't sure that, you know, needed something, we
5 certainly could provide technical input. But your opinion
6 is your opinion and your advice and your recommendations,
7 and it would be your own.

8 CHAIRPERSON MORENO: Okay. Then in that case the
9 only amendment I would make, Gina, is to accept George's
10 recommendations.

11 PANEL MEMBER MCKONE: I think it would be more
12 powerful if there were a letter on behalf of the whole
13 Panel, instead of having -- it doesn't look quite so
14 strong if it's individuals sending it in saying, "Well, we
15 think we all agree on this." But to have the Chair say,
16 "Look, this is the opinion of the Panel" --

17 CHAIRPERSON MORENO: And that would be -- if we
18 decided today, then you're right, we wouldn't have to wait
19 till the next meeting, because this Panel could agree to
20 give the direction and -- I would like to run it by the
21 Panel members for one last look and then we'll send it.

22 PANEL MEMBER WILSON: We couldn't submit our
23 names along together?

24 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT
25 BRANCH CHIEF ZEISE: I think we'd want to check with legal

1 counsel to see if you can --

2 OEHHA DIRECTOR DENTON: You know, we're kind of
3 confused. We're kind of hobbled here because we don't
4 have our legal counsel here, so I'm not sure what the
5 restraints or constraints are under the Bagley-Keene with
6 this via serial meeting --

7 PANEL MEMBER SOLOMON: I'd suggest that we give
8 the Chair and two other members of this Panel full carte
9 blanche to write a letter on behalf of all of us. I will
10 gladly give up my -- you know, put my trust in my fellow
11 Panel members and heartily endorse whatever letter it is
12 without needing to see it. Because if it does come out to
13 the whole Panel, I think it -- without being released to
14 the public, there is a problem.

15 PANEL MEMBER CULVER: I would prefer to see a
16 draft before it goes in.

17 PANEL MEMBER SOLOMON: So who else would want to
18 see it or be on the writing group?

19 PANEL MEMBER BRADMAN: Well, since I volunteered
20 it, I'd do it.

21 CHAIRPERSON MORENO: We have two so far.

22 PANEL MEMBER SOLOMON: Anybody else?

23 So maybe we have a writing group of three.

24 CHAIRPERSON MORENO: Yes. Okay.

25 PANEL MEMBER QUINT: I just have a question.

1 CHAIRPERSON MORENO: Sure.

2 PANEL MEMBER QUINT: I just want to make sure
3 that when we -- I totally support the letter. And whoever
4 writes it, I am in agreement. I just want to play Devil's
5 advocate a little bit and make sure that we don't by
6 writing a letter saying that "As currently funded the
7 program lacks scientific robustness" or something and that
8 we can't continue. And then they say, "Okay. Well, we
9 have" -- how much is that program -- you know, "we can
10 take that 1.5 million and put it elsewhere.

11 (Laughter.)

12 PANEL MEMBER QUINT: You know, stranger things
13 have happened in state government. And so I just want to
14 make sure -- and you in state government are best -- who
15 are current in state government are best to respond to
16 this. But I just want to make sure we don't shoot
17 ourselves in the foot, because we do want this program and
18 we will hobble something together until we can make a
19 stronger argument as to why the state needs this program,
20 by, you know, coming up with a pilot or whatever to show
21 that this is really needed. So we just need to, you know,
22 kind of reflect on that a little bit.

23 But this is in no way to say that I'm not in
24 favor of writing whatever letter is written to support
25 funding, because we need it.

1 We've already delayed one year, I think I heard
2 you say, because we don't have an operating budget, that
3 we are ready to launch in 2011 instead 2010 or something
4 like that. So all of those things are very important,
5 because chemicals are changing and being produced as we
6 speak.

7 But, anyway, just think about it a little bit and
8 make sure we don't --

9 PANEL MEMBER BRADMAN: I agree that, you know, we
10 have to be careful. And maybe as a fourth person and not
11 making quorum, would you at least be willing to review
12 that --

13 PANEL MEMBER QUINT: Okay.

14 PANEL MEMBER WILSON: And of course one of the
15 driving arguments that brought the REACH regulation into
16 force and into life in the European Union was just the
17 public health argument that there are costs saved in
18 future disease in the population and in work places by
19 developing this knowledge. And that carried through over
20 many months in the EU, and probably would be useful here.

21 And I'd be happy to review it as well.

22 PANEL MEMBER QUINT: Well, if you review it, then
23 that's five people. So that wouldn't --

24 PANEL MEMBER WILSON: Does that require actual
25 work and then forgetting it?

1 PANEL MEMBER QUINT: It requires a notice of
2 meeting.

3 CHAIRPERSON MORENO: So I'd like to go back to
4 the volunteers who are going to help me write this.

5 PANEL MEMBER QUINT: I'm sorry?

6 CHAIRPERSON MORENO: I need the names of the
7 volunteers who are going to help me write this.

8 PANEL MEMBER BRADMAN: I think I -- I'll help
9 write it. And you and I can write it. And then Dr.
10 Culver and Dr. Quint will help review it.

11 CHAIRPERSON MORENO: Okay. Great.

12 PANEL MEMBER QUINT: That's fine.

13 CDPH EXPOSURE ASSESSMENT SECTION CHIEF LIPSETT:

14 I would like to offer just a couple of minor
15 comments in relation to this.

16 One is that Julia has a lot of experience with
17 state budgets and issues. And the scenario that she
18 raised is a very real one that you really need to be
19 cognizant of.

20 The second is -- it hasn't been brought out here
21 but I feel I need to make it explicit for the record, is
22 that all of the state staff here are -- we work for the
23 administration. The Governor is our boss. And we
24 formally support the Governor's budget. And so in terms
25 of working with you, we can't do that. And our position

1 is that we do support the Governor's budget.

2 CHAIRPERSON MORENO: Okay. Thanks for clarifying
3 that.

4 Okay. Further comments for discussion?

5 And George needs about seven minutes to summarize
6 for us. And we're getting close to 5 o'clock.

7 Yes, Gina.

8 PANEL MEMBER SOLOMON: I actually, in thinking
9 about the discussion earlier today about the designated
10 chemical list, have had increasing heartburn about the
11 sort of the lack of diesel exhaust chemicals on the list.
12 And, you know, the reason for that is that it's not
13 totally clear what the best marker is for diesel. But
14 it's a huge California problem and worse here than in most
15 other states. And so I'm actually proposing that maybe we
16 consider amending our previous agreement around the
17 designated chemical list to ask staff to look into the
18 best biomarkers for diesel. Is 1-nitropyrene the best? I
19 understand that there's some studies Tom told me about,
20 that, you know, some groups that are looking at other
21 markers.

22 And it seems like an appropriate addition. I'm
23 just wondering if other people feel okay with that or --

24 PANEL MEMBER QUINT: Yeah. I think the German
25 scientists mentioned that there was a better biomarker for

1 diesel in terms of cancer concerns.

2 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

3 BRANCH CHIEF ZEISE: Yeah, and staff have been making
4 inquiries about that. And we can certainly do that work.

5 PANEL MEMBER BRADMAN: There's a group at the
6 National -- the Cancer Center, Peggy Reynolds' group.
7 Rudy Rull, who actually wrote a grant on measuring diesel
8 markers in -- it might be worth talking to him. I
9 actually have a call into him. But I can raise it with
10 him.

11 CHAIRPERSON MORENO: And we have a recommendation
12 and some support here and willingness on staff's behalf.

13 Panel okay with that?

14 Okay. Good.

15 All right. Additional comments from the Panel?

16 If not, it's all yours, George.

17 OEHHA DEPUTY DIRECTOR ALEXEEFF: George Alexeeff
18 with OEHHA.

19 Well, we've had a very full day. And I want to
20 thank the Panel members for hanging in there and providing
21 all this very thoughtful discussion and input.

22 I also want to thank -- first of all think all
23 the staff that have been involved in helping to pull all
24 this together as well.

25 From DPH, there -- you've heard many of these

1 speak today -- Diana Lee, Sandy McNeil, Robbie Welling,
2 Sharon Lee, Lori Copan, Peter Flessel, Jianwen She,
3 Michael Lipsett, Phillip Gonzaga, Frank Barley. And then
4 also from DTSC, Myrto Petreas.

5 And the OEHHA staff who has been working on this
6 are Gail Krowech, Amy Dunn, David Berger, Farla Kaufman,
7 Jocelyn Suero, Maria Aguilar, Lauren Zeise.

8 So I just wanted to make sure I mentioned those.

9 So and today we kind of went through -- you heard
10 a summary of the program updates. You heard about the
11 laboratory capacity issues, some of the costs, the current
12 status of our budget situation. And then we began talking
13 about how we would frame chemical selection as an issue.
14 We went through a number of criteria. You heard about the
15 public input we received, the workshops, the surveys, the
16 surveying of staff. So I think you've heard that
17 information.

18 And then I think in terms of criteria, you heard
19 sort of like in some ways a lot of similar jelling on the
20 criteria, both from some of the Panel members as well as
21 the staff as well as the public, where some of the issues
22 that they think should be considered in criteria are
23 at-risk populations, such as pregnant women, fetuses, and
24 children; communities with heavy burden; widespread
25 exposure issues; persistent chemicals; looking at

1 community-based studies and chemicals of California
2 concern.

3 And then specifically the Panel was looking at
4 seven groups of chemicals that they felt they would like
5 to focus a little more on in terms of potential designated
6 chemicals. Those were vanadium; pesticides, particularly
7 high-use pesticides in California; flame retardants,
8 particularly DecaBDE and placement chemicals for flame
9 retardants that might be coming up; methyl siloxanes,
10 particularly D5; antibiotics in animal feed; also
11 pharmaceutical chemicals that might particularly have
12 hormonal impacts; plasticizers and replacements; and then
13 also some sort of bigger thoughts on product categories
14 for VOCs and for general purpose cleaners which we'll have
15 to sort of think about and get back to you.

16 So the staff will be getting back to --

17 PANEL MEMBER SOLOMON: And diesel.

18 OEHHA DEPUTY DIRECTOR ALEXEEFF: Oh, and now
19 diesel. Thank you.

20 All right. Updated my notes.

21 And so the staff will be getting back to you next
22 meeting with regards to some of this chemical selection
23 issues and what we can have on that. And there are four
24 Panel members that have volunteered to provide some input
25 in that regard.

1 Also, you've heard at the next meeting we'll be
2 discussing additional information on a sample design,
3 getting a little bit more into that.

4 Also, there may be some information about
5 community studies and how we might follow up on that.

6 The next meetings are scheduled -- the next
7 meeting is scheduled October 17th in Los Angeles. And the
8 following meeting after that is December 5th in
9 Sacramento.

10 Those are tentative dates?

11 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

12 BRANCH CHIEF ZEISE: If anyone has any problems --

13 OEHHA DEPUTY DIRECTOR ALEXEEFF: So please get
14 back to the staff, Lauren or the team if they have -- or
15 Dr. Lipsett. If you could block those dates, get back to
16 us on whether those are possible or if we could come up
17 with other dates.

18 PANEL MEMBER QUINT: Repeat them.

19 OEHHA DEPUTY DIRECTOR ALEXEEFF: Okay. Those
20 were October 17th for Los Angeles and December 5th in
21 Sacramento.

22 Oh, and the October 17th, the reason we're having
23 that, because we had previous discussions about somehow
24 coordinating with ISEE. So it's a piggyback on that
25 particular meeting, which is occurring in Pasadena, I

1 believe.

2 Yeah. So it's kind of a coordination with that.

3 All right. That's my summary.

4 CHAIRPERSON MORENO: Thank you.

5 Did you mention how the work group will be

6 convened to work -- to follow up on the list?

7 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

8 BRANCH CHIEF ZEISE: Are you talking about the four?

9 CHAIRPERSON MORENO: Yeah, the four volunteers
10 from the Panel.

11 OEHHA REPRODUCTIVE AND CANCER HAZARD ASSESSMENT

12 BRANCH CHIEF ZEISE: We will be sending an Email message
13 and we'll work on that.

14 CHAIRPERSON MORENO: Great. Thank you.

15 And then I guess I will probably be notifying the
16 other two volunteers to work on the letter.

17 All right. Well, with that, I think that
18 concludes our agenda. And any other last commence from
19 Panel members. It's 5:01. We didn't too bad.

20 All right. Meeting adjourned.

21 (Applause.)

22 (Thereupon the California Environmental
23 Contamination Biomonitoring Program
24 Scientific Guidance Panel meeting
25 adjourned at 5:01 p.m.)

1 CERTIFICATE OF REPORTER

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Environmental Contamination
7 Biomonitoring Program workshop was reported in shorthand
8 by me, James F. Peters, a Certified Shorthand Reporter of
9 the State of California, and thereafter transcribed into
10 typewriting.

11 I further certify that I am not of counsel or
12 attorney for any of the parties to said workshop nor in
13 any way interested in the outcome of said workshop.

14 IN WITNESS WHEREOF, I have hereunto set my hand
15 this 23rd day of June, 2008.

16

17

18

19

20

21 JAMES F. PETERS, CSR, RPR

22 Certified Shorthand Reporter

23 License No. 10063

24

25