

CALIFORNIA ENVIRONMENTAL CONTAMINANT
BIOMONITORING PROGRAM
(BIOMONITORING CALIFORNIA)
SCIENTIFIC GUIDANCE PANEL MEETING
CONVENED VIA HYBRID FORMAT BY:
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
STATE OF CALIFORNIA

ELIHU M. HARRIS STATE OFFICE BUILDING
GEORGE ALEXEEFF ENVIRONMENTAL HEALTH LIBRARY
16TH FLOOR
1515 CLAY STREET
OAKLAND, CALIFORNIA

WEDNESDAY, MARCH 4, 2026

1:00 P.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS:

Thomas McKone, PhD, Chair

Carl F. Cranor, PhD, MSL

Lara Cushing, PhD, MPH

Timur S. Durrani, MD, MPH, MBA

Oliver Fiehn, PhD

Amy Padula, PhD, MSc

Penelope (Jenny) Quintana, PhD, MPH (Remote)

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

Kris Thayer, PhD, Director

Stephanie Jarmul, MPH, Research Scientist Supervisor,
Section Chief, Safer Alternatives Assessment and
Biomonitoring Section, Reproductive and Cancer Hazard
Assessment Branch

Martha Sandy, PhD, MPH, Supervising Toxicologist
(Managerial), Branch Chief, Reproductive and Cancer Hazard
Assessment Branch

McKenna Thompson, MPH, MPH, Research Scientist, Safer
Alternatives Assessment and Biomonitoring Section,
Reproductive and Cancer Hazard Assessment Branch

CALIFORNIA DEPARTMENT OF PUBLIC HEALTH:

Kathleen Attfield, ScD, Supervisor, Exposure Surveillance
and Epidemiology Unit, Environmental Health Investigations
Branch

Josephine DeGuzman, Research Scientist Supervisor I, Lab
Systems Unit, Biochemistry Section, Environmental Health
Laboratory Branch

APPEARANCES CONTINUED

CALIFORNIA DEPARTMENT OF PUBLIC HEALTH:

Alex Okrut, Research Scientist, Inorganic Lead Testing Unit, Biochemistry Section, Environmental Health Laboratory Branch

Jianwen She, PhD, Section Chief, Biochemistry Section, Environmental Health Laboratory Branch

Jeff Wagner, PhD, Chief, Environmental Health Laboratory Branch

Nerissa Wu, PhD, MPH, Supervisor, Exposure Assessment Section, Environmental Health Investigations Branch

CALIFORNIA DEPARTMENT OF TOXIC SUBSTANCES CONTROL:

Dicle Yardimci, PhD, MS, Senior Hazardous Substances Engineer, Safer Consumer Products Program

Anne Cooper Doherty, PhD, Senior Environmental Scientist, Supervisor, Chemical Product and Evaluation Unit, Safer Consumer Products Program

GUEST SPEAKER:

Matt Campen, PhD, MSPH, Distinguished Professor, University of New Mexico

ALSO PRESENT:

Arlene Blum, PhD, Green Science Policy Institute

Nancy Buermeyer, Breast Cancer Prevention Partners

Rebecca Fuoco, MPH, Green Science Policy Institute

Ahimsa Porter Sumchai, MD, Hunters Point Biomonitoring Foundation

INDEX

	<u>PAGE</u>
Welcome	
Kris Thayer, PhD, Director	1
Overview of the Meeting	
Thomas McKone, Phd, Chair, Scientific Guidance Panel	4
Program Update	
Presentation: Nerissa Wu, PhD, MPH, California Department of Public Health (CDPH)	7
Panel and Audience Questions	20
Open Discussion and Input	26
Microplastics: Monitoring Methods and Examples of State Efforts	
Introduction to session: Jeff Wagner, PhD, Environmental Health Laboratory, CDPH	35
Panel and Audience Questions	42
Presentation: Dicle Yardimci, PhD, MS, Department of Toxic Substances Control	48
Panel and Audience Questions	61
Open Discussion and Input	65
Presentation: Matt Campen, PhD, MSPH, University of New Mexico	74
Panel and Audience Questions	93
Open Discussion and Input	99
Open Public Comment Period	113
Wrap-up and Adjournment	121
Reporter's Certificate	123

PROCEEDINGS

1
2 DIRECTOR THAYER: All right. Good afternoon,
3 Panel members and the audience to this March Meeting of
4 the Scientific Guidance Panel for Biomonitoring
5 California, more formally known as California
6 Environmental Contaminant Biomonitoring Program. Thanks
7 for joining us.

8 So the Panel last met on March 14th, 2025. At
9 that November meeting, we had updates on Biomonitoring
10 California Program activities, including a presentation on
11 hexachlorobenzene levels in California and results from
12 the FRESSCA Study. FRESSCA is the Farmworker Women and
13 Respiratory Exposure to Smoke from Swamp Cooler Air study.

14 Key discussion topics included: -- thank you --
15 Biomonitoring California analysis of persistent organic
16 pollutant levels in California, which suggests that levels
17 hexachlorobenzene are not decreasing over time; the
18 Program's intra-program pilot studies; inclusion criteria
19 and monitoring methods utilized in the Community Health
20 and Air Quality Implications of Refinery Retirements in
21 LA, or the CHAIRS study; results from the FRESSCA Project
22 including: higher levels of urinary 2-naphthol in FRESSCA
23 participants compared to levels reported in the general
24 U.S. population as part of the NHANES study; possible
25 reasons for PAH metabolites, other than 2-naphthol were

1 the same or lower in FRESSCA participants compared to
2 NHANES; participant perceptions and use of the air
3 filtration solutions utilized in the study; selection of
4 metabolites measured in FRESSCA-Mujeres and other program
5 studies.

6 The Panel and audience also provided input on
7 possible topics for 2026 Scientific Guidance Panel
8 meetings.

9 So the summary and transcript of that meeting is
10 posted at the November meeting page on the Program's
11 website at biomonitoring.ca.gov.

12 And next, I would like to introduce you to our
13 new Scientific Guidance Panel Chair, Thomas McKone. Tom
14 has been a Panel member since the Program's beginning in
15 2007. And we are grateful that you agreed to take on this
16 additional responsibility. For those of you who don't
17 already know him, Tom is a Professor Emeritus at the
18 School of Public Health at the University of California,
19 Berkeley, and a retired affiliate of the Lawrence-Berkeley
20 National Laboratory. He earned a PhD in engineering from
21 UCLA. His research career has focused on development,
22 use, and evaluation of models and data for assessing the
23 health and environmental impacts of energy, industrial and
24 agricultural systems. He has authored 165 research
25 papers, served on the U.S. EPA Science Advisory Board, and

1 has been a member of 15 committees of the U.S. National
2 Academies of Science, Engineering, and Medicine. He has
3 won numerous awards, including the Lawrence-Berkeley
4 National Laboratory Lifetime Achievement Award in 2019.

5 Please join me in welcoming Tom McKone to his new
6 role.

7 (Applause).

8 DIRECTOR THAYER: I'd like to invite the other
9 Panel members to introduce themselves by name and
10 affiliation. We're going to start with Jenny Quintana who
11 is attending remotely. Jenny has been granted a
12 reasonable accommodation to attend this meeting and to
13 maintain her camera off.

14 But now, we'll go ahead and start in the room.
15 Maybe we can start with Oliver.

16 STEPHANIE JARMUL: Let Jenny introduce herself
17 first.

18 DIRECTOR THAYER: Okay.

19 PANEL MEMBER QUINTANA: Hi, everybody. My name
20 is Penelope, or nicknamed Jenny, Quintana. And I'm a
21 Professor in the School of Public Health at San Diego
22 State University.

23 DIRECTOR THAYER: Thank you.

24 PANEL MEMBER FIEHN: Oliver Fiehn, Professor at
25 UC Davis in the Genome Center.

1 PANEL MEMBER PADULA: Amy Padula, Associate
2 Professor in the Department of Obstetrics, Gynecology, and
3 Reproductive Sciences at the University of California, San
4 Francisco.

5 PANEL MEMBER CRANOR: Carl Cranor, Professor
6 Emeritus at the University of California, Riverside, and
7 Emeritus in Environmental Toxicology, where I've got a
8 partial appointment as well.

9 PANEL MEMBER CUSHING: Hi. Lara Cushing,
10 Associate Professor of Environmental Health Sciences at
11 the University of California, Los Angeles.

12 PANEL MEMBER DURRANI: Hi. Timur Durrani,
13 Professor of Medicine at the University of California, San
14 Francisco.

15 DIRECTOR THAYER: All right. Thank you. And
16 then I'm now going to pass the meeting on to Tom.

17 CHAIR McKONE: Pass the torch and the microphone.
18 Thank you. I have to say I'm honored to be serving as
19 Chair. This is a very wonderful Committee to serve on our
20 Panel.

21 So I want to begin with our reminder to the Panel
22 members to please comply with the Bagley-Keene Open
23 Meetings requirements, and that requires that all
24 discussions and deliberations of the Panel about the
25 subject matter at issue today need to be conducted during

1 the meeting, not on breaks with individual members of the
2 Panel or on- or off-line, including via phone, email,
3 chats, or text messages.

4 Panel members who are attending remotely must
5 visibly appear on camera during the open portion of the
6 meeting, unless they have been granted a reasonable
7 accommodation. If you are unable to keep your camera on
8 during the meeting, because it's technologically
9 impractical, please make an announcement when you turn
10 your camera off. Additionally, if someone older than 18
11 is in the room with a Panelist who are attending remotely,
12 you must disclose the presence of that person and their
13 general relationship to you.

14 So I guess we could take a moment -- we're
15 supposed to take a moment to ask remote attendees if
16 anybody over 18 is in the room.

17 PANEL MEMBER QUINTANA: (Shakes head).

18 CHAIR McKONE: No. Okay. Great.

19 So, today actually, it's a relatively short
20 meeting, but full of all kinds of really useful
21 information and hopefully some good discussion.

22 We will first hear an update on Program
23 activities in the first part of the meeting up to the
24 break. And then the Panel will also hear from Program
25 staff and guest speakers about monitoring methods for

1 microplastics and examples of State efforts regarding
2 microplastics.

3 There will be time for questions from the Panel
4 and audience after each presentation. If SGP members wish
5 to speak or ask questions, please raise your hand. I will
6 call on you at the appropriate time and then you can ask
7 your question and provide a comment. If online webinar
8 attendees have questions or comments during the question
9 periods after each talk, you can submit them via the Q&A
10 feature of the Zoom webinar or by email to biomonitoring
11 @O-E-H-H-A.ca.gov. That's biomonitoring@oehha.ca.gov. We
12 will not be using the chat function during the meeting and
13 it will not be monitored. So you can't use that to ask
14 questions. Please keep your comments brief and focused on
15 the items under discussion. Relevant comments will be
16 read aloud and paraphrased when necessary.

17 If online attendees wish to speak during the
18 public comment period and discussion sessions, please use
19 the "Raise Hand" feature in Zoom webinar and McKenna
20 Thompson will call on you at the appropriate time. Please
21 make sure that you join the webinar under the name you
22 would like to be identified as when commenting, including
23 if you would like to remain anonymous.

24 If you are attending in person and wish to
25 comment during the public comment periods and discussion

1 [SLIDE CHANGE]

2 DR. NERISSA WU: So starting with our
3 community-focused studies, ACE, BiomSPHERE, and CHAIRS-LA,
4 I'm really happy to announce that Kelly Chen's work on the
5 association between consumption of fish and serum PFAS
6 levels, which we've talked about in prior meetings, it has
7 just been published in Exposure and Health. So we've been
8 able to release that, along with a two-page fact sheet
9 that summarizes the findings. And we also have a second
10 lay-friendly piece, which I have an example of up here,
11 which highlights the key messages in Vietnamese and
12 Chinese, the two languages in which the study was
13 conducted. And this postcard is going to be sent out to
14 study participants, as well as made public -- publicly
15 available. So all of those things are available on our
16 website.

17 [SLIDE CHANGE]

18 DR. NERISSA WU: And I just want to point out
19 that our website is also available -- the ACE portion of
20 the website is also available in English, Chinese, and
21 Vietnamese relevant to our study participants.

22 [SLIDE CHANGE]

23 DR. NERISSA WU: For BiomSPHERE, we're planning
24 on having a community meeting in the spring to discuss
25 results of the study with participants and the surrounding

1 community. We have our results return evaluation
2 interviews and focus groups coming to a close. As a
3 reminder, we've been working with UC Merced and the
4 California Asthma Collaborative to assess our results
5 return materials and that includes a pilot use of the
6 Silent Spring's DERBI platform. They have finished the
7 interviews. They're wrapping up the analyses of the data,
8 so we will have them here at a later SGP meeting.

9 And relevant to BiomSPHERE, we also have a couple
10 of publications in preparation which we'll also hear more
11 about as they progress.

12 [SLIDE CHANGE]

13 DR. NERISSA WU: For CHAIRS-LA, which is a
14 collaboration with UCLA, and Yale, and USC, we have
15 completed participant enrollment and the first round of
16 sample collection. So the study was able to successfully
17 recruit 180 participants that live in the Wilmington,
18 Carson, and Torrance area. Participants wore Fresh Arm --
19 Fresh Air wristbands and they also had information on
20 health indicators, like blood pressure and lung function
21 collected. And of that 180 participant group, 86
22 participants agreed to be part of the biomonitoring
23 portion of the study.

24 So from those participants, we collected two
25 different samples, two first-morning voids on consecutive

1 days - those are urine samples - this past fall.

2 [SLIDE CHANGE]

3 DR. NERISSA WU: For the next steps, those
4 samples right now are at the Environmental Health Lab and
5 they are currently analyzing the samples for metals, and
6 specific gravity, and creatinine. And then following our
7 usual protocol, we'll follow up with participants who have
8 elevated levels of arsenic, mercury, and cadmium.

9 Then looking forward the aliquots are stored for
10 potential analyses of VOCs and PAHs. And then we hope to
11 be back out in the field in fall 2026 to collect two more
12 urine samples from the participants. And we'll have a
13 much more detailed update on the CHAIRS-LA study at a
14 future SGP meeting.

15 [SLIDE CHANGE]

16 DR. NERISSA WU: We also have a number of
17 surveillance studies in progress, including CARE, MAMAS,
18 and STEPS. For CARE-2, we're about to return speciated
19 arsenic data in the next month to all of our participants.
20 And then we have this data from CARE-LA and CARE-2 that
21 we're continuing to analyze.

22 We're making progress on work related to the
23 MAMAS data, and that's both with regards to PFASs and
24 hexachlorobenzene, which you've heard about at our last
25 meeting. And STEPS samples, we're hoping to have PFAS

1 data on our first county coming up soon.

2 [SLIDE CHANGE]

3 DR. NERISSA WU: I just want to talk a little bit
4 more about CARE data. As we have more data available to
5 us, we're adding this to the CARE Study report. And this
6 is a report we've described in the past before. Rather
7 than try to fit all of the information about the CARE
8 Study into a scientific paper, we've created a report. So
9 we have detailed study design, and methods, and data
10 available in this one downloadable report. It's available
11 in English and Spanish on our website.

12 And typically when we get data from the labs, our
13 priority, of course, is to get results return out to
14 participants. But then we work on creating summary
15 statistics, which includes things like geometric means,
16 confidence intervals, detection frequencies. And that all
17 goes up on the web as soon as we can get it out publicly.

18 The CARE report includes a lot more information,
19 including weighted and unweighted data,
20 creatinine-adjusted and unadjusted. And for some of those
21 analytes, we also have it stratified by parameters like
22 race, age, sex, income, and education, along with adjusted
23 percent changes compared to our reference groups. So
24 we're working on getting additional appendices added to
25 this report, which will include data tables for speciated

1 arsenic, phenols for CARE-LA, and the metals for which the
2 stratified tables were not initially included.

3 And I'm talking about this, because as part of
4 CARE and STEPS in our upcoming surveillance, we want to
5 create a protocol by which our data tables are getting out
6 very quickly, so that people can use them. We want them
7 in a format that's accessible, but also the most usable
8 for other researchers.

9 So one of the things that would be great to get
10 feedback from the Panel or for other users of our data is
11 what is the format of data that's most useful to you,
12 whether that's weighted or unweighted, the raw form, and,
13 you know, what type of data and how would you like that
14 formatted to make it most useful for you and your
15 collaborators.

16 [SLIDE CHANGE]

17 DR. NERISSA WU: Oh, here we go. Okay.

18 So I have mentioned future surveillance a couple
19 of times. We are actively starting to prepare for a new
20 surveillance effort. So as part of that, we have started
21 meeting with the California Health Information Survey, or
22 CHIS, to start planning how this might be designed, how we
23 might interface with the CHIS protocol. And in the
24 meantime internally, we're working on a lot of the nuts
25 and bolts issues, things that we want to have in place to

1 phlebotomy. It was quicker. It was less painful. We did
2 have some participants who did prefer venipuncture, but we
3 also heard that about half of the participants were left
4 with a mark on their skin even weeks after the sample was
5 collection -- collected.

6 And for some participants that was a little bit
7 of a deal breaker that you could still see a mark on their
8 arm. So that's something we're continuing to examine.

9 As far as volume goes, we were able to get
10 samples for most participants using the devices.
11 Although, for some people we did need to do a second or
12 even a third attempt. Of course, venipuncture is also not
13 a hundred percent. You sometimes have to look for a
14 second stick. And then we did encounter some issues for
15 participants who had fast clotting blood and found that
16 there might have been a little less success with older
17 participants. So things we're considering again.

18 So again, the samples are at the lab right now
19 for metals and PFAS analyses. And we will evaluate the
20 samples for usability and for contamination, and then
21 differences between the different devices. Right away, we
22 did have some concern with blood clotting too quickly in
23 the EDTA tubes for people particularly who took a little
24 longer for the sample to collect. We're worried it didn't
25 get mixed with the EDTA quickly enough. And so we'll be

1 looking to our labs to let us know if that impacted the
2 quality of the samples.

3 [SLIDE CHANGE]

4 DR. NERISSA WU: One of the other things we're
5 really putting a lot of energy into exploring is this use
6 of an electronic platform for results return. DERBI is a
7 platform that was created by Silent Spring Institute. And
8 they've, of course, done extensive work on developing
9 participant experiences and evaluating it.

10 So we wanted to use our own materials, see what
11 the platform looked like with Biomonitoring California
12 materials and evaluate the impact on our staff, as well as
13 on participants.

14 [SLIDE CHANGE]

15 DR. NERISSA WU: So internally the process of
16 creating paper results packets is quite labor intensive.
17 The use of an electronic platform such as DERBI would
18 really simplify the process. We'd still do the data
19 processing step, but it would eliminate the need to
20 produce and mail out all the paper packets. And this is
21 particularly helpful in a study for which we have hundreds
22 of participants and multiple chemical panels, which might
23 be coming out at different points in time.

24 For staff, we would eliminate the need to verify
25 mailing addresses and conduct multiple rounds of packet

1 generation. And for participants, they would have one log
2 in where they would repeatedly log in to the same site to
3 see additional results.

4 On the participant side, we have heard from
5 participants that our results are a lot to look through,
6 and so the DERBI platform does enable participants to
7 either survey their materials or to click on links and go
8 deep into their material. It allows it to be a lot more
9 configurable to how they want to access the data. And for
10 us the online system allows us to track how people are
11 looking at their data, which would help us understand a
12 little better about what is useful to our participants.

13 [SLIDE CHANGE]

14 DR. NERISSA WU: So moving on to the labs. The
15 labs are also working on expanding and improving our lab
16 methods. They're supporting our various IPP studies to
17 develop our lab and field methods. From the IPP sampling,
18 PAH metabolite results have been returned to us and are
19 about to be returned to participants using the DERBI
20 system. And we just got VOC metabolite data, which we'll
21 be getting back to participants soon.

22 And then we have aliquots from an IPP sample
23 collection that are at the Emory Lab for analysis of short
24 and ultra-short PFASs, and we should have that data in the
25 next couple of months.

1 [SLIDE CHANGE]

2 DR. NERISSA WU: Just an example of how this
3 method refinement is useful, how the IPP is used for
4 method refinement. Our PAH method that was used in the
5 last IPP round, now has a unique standard per analyte
6 rather than one internal standard that's used for all
7 analytes. And so that matched internal standard is able
8 to address some of the issues we've had, such as matrix
9 effects and losses during solvent evaporation, and
10 instrument fluctuation. So this should improve the
11 precision and accuracy of the method.

12 [SLIDE CHANGE]

13 DR. NERISSA WU: Other than IPP, the labs are
14 busy working on CHAIRS, 187 samples. And we're on track
15 to have all those samples analyzed by May. CARE-2, we're
16 hoping to have phenols analyzed for the CARE-2
17 participants. And then for STEPS, I had mentioned before
18 Orange County data should be released to us soon.

19 [SLIDE CHANGE]

20 DR. NERISSA WU: We want to talk about outreach
21 and communications, I have mentioned some of the things
22 that staff is busy working on, things like results return,
23 and additions to the CARE report, but there is a lot of
24 effort going into developing social media content now to
25 better disseminate our study findings. This is an example

1 of a post that we put out on the FREES Study, on the
2 intervention study with foam replacement in furniture.
3 And that re -- that reached new audiences of more than
4 10,000 people, because of reposting by State and county
5 agencies.

6 This came out, I guess, a few weeks ago, but we
7 actually also had an Instagram, and X, and LinkedIn post
8 that came out on the fish and PFAS work. And we're hoping
9 that that also gets reposted to reach some broader
10 audiences.

11 [SLIDE CHANGE]

12 DR. NERISSA WU: And then in addition to
13 study-specific information, the team is also posting more
14 generally on environmental health issues, building
15 environmental health capacity in the public. And we
16 recently posted about World Environmental Health Education
17 Day, which again was reposted by a number of
18 organizations. And it's through this network that we're
19 really able to reach many more people. I just want to
20 note that our outreach team was just featured in a CDPH
21 2026 Social Media Best Practices Guide, serving as a
22 benchmark for the Department's external collaboration --
23 communications. So that's a great recognition for our
24 staff. And it's the Outreach and Communications group, as
25 well as our broader staff that really gets all of this

1 work done. So I just want to acknowledge all of their
2 hard work.

3 [SLIDE CHANGE]

4 DR. NERISSA WU: I didn't even get the time
5 message. I'm right on time.

6 (Laughter).

7 [SLIDE CHANGE]

8 DR. NERISSA WU: Anyone with questions at this
9 point?

10 STEPHANIE JARMUL: Make sure they turn the mics
11 back on.

12 CHAIR McKONE: Thank you very much, Nerissa. So
13 we'll begin with just clarifying questions first from the
14 Panel and then from the audience, but these are just short
15 questions of clarification. Once we finish that, then
16 we'll move into a more open discussion with more in-depth
17 questions. So hold your profound questions.

18 (Laughter).

19 CHAIR McKONE: This is just for points of
20 clarification. First of the Panel.

21 Yes.

22 PANEL MEMBER FIEHN: Thank you, Nerissa. It's
23 fantastic like always.

24 I wonder -- you know, I'm really interested in
25 this DERBI feedback loop. What about, you know, people

1 who may not have their own cell phone or have difficulties
2 with internet access, will they get still written forms in
3 these cases?

4 DR. NERISSA WU: Yeah. Always. We're aware that
5 the online format is not accessible to everybody. And
6 actually some of the UC Merced feedback to us will be on
7 how DERBI was perceived by participants. But DERBI also
8 has a function where you can print out a report.

9 PANEL MEMBER FIEHN: Okay.

10 DR. NERISSA WU: And the program would always
11 make that available to participants.

12 PANEL MEMBER FIEHN: So you also mentioned that
13 there were issues with laboratory activities in terms of
14 internal standards that, you know, until now, they were
15 not individual internal standards. Are those studies now
16 in question? Are they going to be repeated?

17 DR. NERISSA WU: I don't think they're in
18 question, but it just improves the precision of the
19 method. But for questions about the method, I think I'm
20 going to see if Jianwen is here. He's not here in person.
21 Jianwen, if you're online maybe you could address Oliver's
22 question.

23 STEPHANIE JARMUL: We'll come back to that one.

24 DR. NERISSA WU: Yeah, we'll come back.

25 CHAIR MCKONE: Yeah, Amy.

1 PANEL MEMBER PADULA: This is Amy Padula. I just
2 had a question about the microsampling devices. I was
3 wondering if they can be self-administered in future
4 studies, and also how they could be stored, or shipped,
5 or if that were a possibility?

6 DR. NERISSA WU: Yeah. I think they are mostly
7 used in self-administered applications at this point.
8 There's a real growth in these different devices, because
9 there's a lot of over-the-counter monitoring of health
10 that's going on, so that's mostly where the market is.
11 But, of course, it's exciting for us that people might be
12 able to take samples on their own.

13 They -- I can show you -- and apologies for
14 people online. We do have samples of them here. You can
15 attach an EDTA tube, which then you detach and could put
16 in your freezer, and then you could have shipping
17 materials to send that back.

18 For serum separator, you would need to spin it
19 down right away, so that would be less feasible for
20 somebody at home, but you could do whole blood sampling,
21 for example, if there were a disaster where you wanted to
22 go in and sample people right afterwards. They would be
23 able to do that on their own and then maybe ship those
24 back to a central location.

25 You asked another question.

1 PANEL MEMBER PADULA: You answered it. Thank
2 you.

3 DR. NERISSA WU: Okay.

4 CHAIR McKONE: Other questions.

5 Lara.

6 PANEL MEMBER CUSHING: Hi. This is Lara Cushing.
7 Thanks for the great presentation as always. I had
8 another question on the microsampling. I was just
9 wondering could you say more about how you evaluate them
10 for potential contamination? Are you like also doing
11 phlebotomy and then comparing between the two?

12 DR. NERISSA WU: Yeah. So for each participant,
13 we collected whole blood and serum via phlebotomy, as well
14 as from the two devices. We also screen the devices ahead
15 of time at the lab. They took them apart, checked the
16 blades, checked the -- you know, whatever touched the
17 sample to see if there were PFAS and metals in the devices
18 themselves. And then we will -- we ran blanks. We
19 collected a number of blanks and we'll check those as
20 well.

21 CHAIR McKONE: Okay. More clarifying questions
22 from the Panel.

23 If not, we could take -- if there are a few
24 brief -- this is short clarifying questions before we move
25 into discussion from the audience.

1 Okay. We're on schedule. We can now open it up
2 to broader questions.

3 STEPHANIE JARMUL: Jianwen is --

4 CHAIR MCKONE: Oh, you do have one. Sorry.

5 DR. JIANWEN SHE: Hi, everyone. This is Jianwen
6 I heard Dr. -- Professor Oliver have a question about PAH
7 result. Could you repeat your question, because I --
8 somehow I missed the part of it.

9 PANEL MEMBER FIEHN: Sure. I was surprised that
10 the Program didn't have individual standards for the
11 individual targets of analysis, because it's kind of
12 common practice to do. So, Nerissa said it was usually
13 just one internal standard for bulk of other reported
14 targets. And I wonder how we look at that if, you know,
15 that is maybe common in PAH analyses? I mean, not that I
16 know, but I think it usually should be that you have
17 internal standards for every compound that you measure or
18 at least you say, oh, you have at least reported these or
19 have correction factors. So that's this question.

20 And then, of course, following, you know, the
21 report -- the data that had been reported before, how much
22 can we now trust them?

23 DR. JIANWEN SHE: Thank you for a very nice
24 question. And at the beginning, this method was developed
25 part of 15, 16 years ago, PAH. We have three or four

1 group of PAH, two rings, three rings. Three rings have
2 the two group of chemical, fluorene and anthracene. And
3 the four rings I believe is pyrene.

4 So, at the beginning, we need to customize make
5 the standards. So for each -- for each homologue group I
6 called isomers, two rings, naphthalene, 1-naph, 2-naph.
7 The other three with three rings -- a lot of them with the
8 three rings, fluorene, three anthracene metabolite, and
9 the pyrene only one. So the total line of them, we use
10 full internal standard. It's -- on the GC-MS, actually
11 relative -- like you are already recognized, GC-MS the
12 relative response effect between the isomer is not that
13 big, but we also do a calibration. We use -- we use the
14 line of the target standard against the full of the
15 isotope label standard at beginning, so that the --
16 produce the result with close value. We call it quality
17 assessment done, with the CDC's PT samples, and also with
18 our quality control samples. So I think that the result
19 is very good regarding previous samples.

20 As you know, with the Deuterium standard that
21 stability is not as strong as the carbon standards. Last
22 few years, we find Carbon-13 standard become available.
23 So you see from this table, we have more Carbon-13 added
24 on. So the method is more robust at this moment than the
25 Deuterium standard, because the hydrogen and Deuterium

1 might switch sometimes, but we do not see any quality
2 issue. The solution, as you mentioned, we calculated the
3 relative response back. Yeah.

4 PANEL MEMBER FIEHN: Thank you.

5 DR. JIANWEN SHE: Thank you.

6 CHAIR MCKONE: With this, we've moved into our
7 more substantive discussion. Thank you. And we can go
8 into other areas.

9 I remind the Panel that like -- I think on one of
10 the earlier slides you were asking for input on how to
11 present analytical results, what are some ideas, yeah --
12 for that. There was one request. Oh, yeah.

13 PANEL MEMBER FIEHN: Oh, yeah... Yeah. Yes,
14 formatting.

15 DR. NERISSA WU: Yeah. We -- I mean, our website
16 currently has -- I mean we are starting to post more
17 creatinine-adjusted and weighted data, particularly for
18 our surveillance. But, I mean, there's effort and there's
19 space on the website. And so, figuring out what is the
20 priority, what is mostly used would be helpful. And even
21 whether these APC-adjusted percent change kind of
22 information is valuable to other researchers -- I think
23 that would be great.

24 CHAIR MCKONE: Any thoughts from the Panel?

25 Timur.

1 PANEL MEMBER DURRANI: This is Timur Durrani. I
2 don't use this for research, but I use a version of
3 something similar for NHANES for explaining results to
4 patient populations. And so having unweighted is helpful
5 and it looks similar to how the NHANES data is presented
6 in that we're able to show by age, and sex, and things
7 like that. So that would be helpful, recognizing this is
8 for adults only, but that's how it's used.

9 DR. KATHLEEN ATTFIELD: Well, I just want to
10 clarify that ours are posted similarly to NHANES and that
11 the NHANES data is also weighted --

12 PANEL MEMBER DURRANI: Okay.

13 DR. KATHLEEN ATTFIELD: -- But we break it down
14 further by different demographic groups. So if you're
15 more interested in male levels, or female levels, or
16 different age groups, I think it's -- so far, it's only
17 been in this report structure, not part of the interactive
18 database that we have online, but open to suggestions.

19 PANEL MEMBER DURRANI: Okay. Thanks. And, yeah,
20 so if it's in the same --

21 DR. KATHLEEN ATTFIELD: Oh, I'm sorry. I'm
22 supposed to introduce myself. Kathleen Attfield from
23 California Department of Public Health.

24 PANEL MEMBER DURRANI: Thanks, Kathleen.

25 So, yeah. So that I -- I think this same format

1 then, that's helpful. That's what we're using. That's
2 how I use it.

3 DR. NERISSA WU: Great. Thank you.

4 CHAIR McKONE: Yeah. Oliver.

5 PANEL MEMBER FIEHN: My lab is very much engaged
6 in public exchange of data. So we host multiple
7 databases, multiple software issues, not so much for these
8 types of chemicals, but for other chemicals. And what we
9 find most useful when we exchange data with other
10 resources is that the data are there in raw format as
11 well, not just in aggregated, not just in summarized, not
12 just -- you know, but the raw data, so that we can use
13 novel algorithms perhaps, that we can look at diversity of
14 variance of data sets, so that is for us most helpful.

15 DR. NERISSA WU: Great. Thank you.

16 CHAIR McKONE: Other input?

17 Yes.

18 DIRECTOR THAYER: Kind of following up on that.
19 So, you know, at U.S. EPA, the former computational
20 toxicology and exposures -- you're probably aware, many of
21 the staff have gone to Underwriter Labs Chemical Research
22 Institute. And they're kind of reenvisioning sort of the
23 data repositories and have asked us specifically about
24 like exposure information that may be available from
25 California, and thinking about that formatting to make it

1 exchangeable. So we can kind of follow-up offline if
2 that's of interest.

3 DR. NERISSA WU: That would be great. I mean,
4 our data is only as useful as it is accessible to people,
5 so any input into how to enhance that would be really
6 awesome.

7 CHAIR MCKONE: Oliver.

8 PANEL MEMBER FIEHN: Oliver Fiehn with another
9 follow-up. Sorry. I haven't look at the downloads. I'm
10 sorry. I should have. But for all chemicals, they need a
11 so-called international chemical identifier in here. If
12 it doesn't have it, they should have it, right? Just
13 saying. That's for database people normal, but maybe not
14 in this case.

15 STEPHANIE JARMUL: Jenny has a question.

16 CHAIR MCKONE: Oh, okay. Jenny, I can't see you.

17 STEPHANIE JARMUL: Jenny. Go to Jenny.

18 CHAIR MCKONE: Jenny, yeah, go ahead.

19 PANEL MEMBER QUINTANA: Hi. Can you hear me?

20 CHAIR MCKONE: Yes.

21 PANEL MEMBER QUINTANA: Okay. Great. Yes. I
22 actually had a similar comment to Oliver just now about
23 the identifier. Another thing just in the report itself,
24 I think that we should make sure that the demographic
25 difference between CARE's and underlying populations is

1 really featured -- (phone rings) -- sorry about that.
2 So -- just because NHANES really is more population based.
3 And so if it looks like NHANES, it might also imply that
4 the population is as representative, which, as we know, it
5 was unable to be unfortunately in the study. So I just
6 think a little more just emphasizing that piece. I think,
7 what we don't know, I guess, would be helpful.

8 DR. NERISSA WU: And that is described in the
9 report. And that's why the APCs are based on weighted
10 data to -- weighted to the population. And, of course,
11 you're correct that there are biases in how our
12 participants were recruited that cannot always be weighted
13 away, but we made every attempt to both make it as
14 generalizable, but then describe the biases that might be
15 in the data.

16 PANEL MEMBER QUINTANA: Yeah. Yeah. I saw the
17 weighting, which was well presented. I just wanted to --
18 I thought maybe a little more for the average person, not
19 realizing that perhaps adding a bit more about that,
20 because it does bias the data a little bit?

21 DR. NERISSA WU: Sure.

22 CHAIR McKONE: We only have -- oh, we just have a
23 few minutes. I want to make sure we formally open it up
24 to public comment now, if there is some.

25 Yeah. Wait for the microphone

1 NANCY BUERMEYER: Thanks very much. Nancy
2 Buermeyer with Breast Cancer Prevention Partners. Again,
3 great job for the Program getting all this work done. As
4 an advocate who uses the numbers to pass legislation, the
5 thing that's most useful for me is not the geometric mean.
6 It doesn't mean anything to people who are trying to do
7 policy. The percent detection is super important and I
8 have found it very hard to find at the NHANES level. It
9 may be there somewhere, but I'm not sophisticated enough
10 to find it on the tables. The other thing that would be
11 helpful is you're testing for individual PFAS, and you're
12 going to do a detection level for -- or detection percent
13 for each of those individual PFAS.

14 But to the extent you could say for the 25 PFAS
15 we tested for, we found it in at least one of them in X
16 percentage of the entire population. Because again,
17 people on the ground don't really care whether it's PFOA
18 or PFOS, or any of the other acronym mess that I don't
19 know what they mean. So those -- that would just be my
20 comment from an advocacy perspective.

21 DR. NERISSA WU: That's always helpful to hear.
22 I did not include a screen shot of the CARE fact sheet,
23 which is the at-a-glance the CARE study. And that's where
24 we might have more aggregated information, like 99 percent
25 of people in this study, or 99 percent of this population

1 have at least one PFAS, and I think an average of seven
2 PFASs in their body.

3 So we are putting a lot of effort into getting
4 more of that aggregated messaging out. So great to hear
5 your feedback on it.

6 CHAIR McKONE: Okay. Other comments, Panel,
7 public?

8 I just -- I mean, before we break, I just -- I
9 was curious about the little microdevices that -- sort of
10 those are kind of like little suction. What keeps them
11 attached?

12 DR. NERISSA WU: Yeah. There's a little bit of a
13 vacuum when you -- when you first -- there's a button you
14 push and that creates the vacuum. And the different
15 devices have either a lancet or a different kind of razor
16 in there, which opens the skin, but then there's the
17 suction that pulls out. It's not very strong and we're
18 only collecting 500 microliters. It's not a lot of blood,
19 but it's enough for one or two panels being run in the
20 lab.

21 CHAIR McKONE: So you're saying it leaves a mark.
22 I mean, I know that when I was a child, I had one of those
23 little suction darts.

24 (Laughter).

25 CHAIR McKONE: And I put it on my arm, and then I

1 had a little red mark, something like that.

2 (Laughter).

3 CHAIR McKONE: I mean, but if you put a suction
4 around, you're going to get a -- some reddening. Is that
5 a -- that's not a problem?

6 DR. NERISSA WU: I mean, I think people described
7 it at as a bruise or a mark. It's -- I don't know how --
8 like I don't know if I would describe it as a scar,
9 something that's permanent. I don't know if others want
10 to weigh in on this who were part of the IPP.

11 STEPHANIE JARMUL: Well, just from what I
12 heard -- this is Stephanie Jarmul -- it lasted for maybe
13 about a week there was mark, and then it did go away. But
14 it was a lasting mark on people's arms that they weren't
15 super thrilled about.

16 CHAIR McKONE: Okay. I was just curious about
17 whether that affects the willingness of people to use it,
18 but it is just a little like -- not a bruise, but a little
19 redness.

20 I guess -- oh, we're on schedule, right, for a
21 break?

22 So, with that, we -- we're going to end the
23 Program update section and we're going to take a 10-minute
24 break. So we want people to return promptly. We'd like
25 to start again right around 1:55.

1 (Off record: 1:46 p m.)

2 (Thereupon a recess was taken.)

3 (On record: 1:56 p.m.)

4 CHAIR McKONE: Alrighty. So we have to finish up
5 one item from the last session. Apparently, just as we
6 were quitting or adjourning, a question came in and we
7 really don't want to exclude a question that is of
8 interest, so we have one question of clarification that
9 came in online.

10 STEPHANIE JARMUL: And the question was from an
11 anonymous attendee. This is Stephanie Jarmul.

12 Do both devices leave a mark or was it just the
13 Tasso+ device or TAP microsamples?

14 DR. NERISSA WU: The responses we got on the
15 survey indicated that both could leave a mark. I believe
16 that TAP left a more profound mark. I'm looking at
17 Mylanah, because she -- oh, sorry, the Tasso left a little
18 bit more of a mark. But again, we're still -- we're still
19 looking at the data to see if there was one that was
20 significantly better, but we did hear from both -- from
21 participants that both devices were leaving a mark.

22 CHAIR McKONE: Okay. Thank you.

23 So for the afternoon -- for the next session this
24 afternoon, we are going to be hearing from three speakers
25 on the topic of microplastics. Our first presenter is

1 Jeff Wagner. Jeff is the Chief of the Environmental
2 Health Invest -- sorry -- Environmental Health Laboratory
3 Branch. Today, he will be providing an introduction to
4 the session, "Microplastics: Monitoring Methods and
5 Examples of State Efforts."

6 Okay.

7 (Slide presentation).

8 DR. JEFF WAGNER: Okay. Well, thank you.
9 Looking forward to this session on microplastics today.

10 [SLIDE CHANGE]

11 DR. JEFF WAGNER: I'm going to start with some
12 introductory remarks and then get into some of the issues
13 relevant to the Program potentially, including definitions
14 of microplastics and pathways, in as much as they relate
15 to the biospecimens we might hope to collect. And then
16 talk about some measurement methods that we've used in our
17 CDPH Laboratory on strictly environmental microplastics
18 measurements, but I'll talk about how that relates and
19 then summarize the results.

20 [SLIDE CHANGE]

21 DR. JEFF WAGNER: So as many of you know
22 microplastics, at this point, have been detected in pretty
23 much every environmental compartment, air, soil, biota,
24 and wildlife, food, water, and more recently human blood,
25 urine, and tissues. So there are still some considerable

1 uncertainties in the field, including the health effects.
2 There's studies coming out that are exploring what various
3 effects like inflammation and neurological effects could
4 be for microplastics, but it's still a relatively young
5 field. Even the definition itself of microplastics could
6 be thought of as a young definition and the measurement
7 methods are evolving.

8 And finally, the migration of microplastics in
9 the human body to various organs and the dependence of
10 that migration on particle size is one of the
11 uncertainties in this field. And the figure on the right
12 is an example from inhalation of microplastics. That's
13 the particular route that I'm most familiar with from my
14 years in air pollution. And it's complex, but just the
15 simplified way of saying it is that particles smaller than
16 two and a half microns are going to end up in the lower
17 airways. Higher will end up in the upper airways and
18 swallowed and therefore represent yet a different target
19 pathway.

20 So, yeah, these are the types of issues that need
21 to be resolved I think as we continue to work on this
22 subject. So both my organization and Department of Public
23 Health, as well as OEHHA, DTSC, and the Water Boards
24 are -- have all been working on microplastics. And the
25 Biomonitoring Program is currently gathering information.

1 [SLIDE CHANGE]

2 DR. JEFF WAGNER: So in a little bit more detail,
3 the OEHHA effort is focusing and evaluating health effects
4 of microplastics in drinking water and bottled water. And
5 there's a new State bill that requires OEHHA to do this.
6 And they're working on some additional complexities, like
7 the fact that microplastics actually represent a
8 contaminant suite, a multidimensional one that I'll go
9 into a little bit in the definition.

10 And then also, they are planning to conduct a
11 literature review, convene an expert workshop, and
12 implement a risk assessment framework.

13 [SLIDE CHANGE]

14 DR. JEFF WAGNER: So on the right, it's just like
15 a screenshot of the resolution from Water Boards from
16 2020, where they defined microplastics specifically in
17 drinking water as essentially solid polymers that range
18 from 1 nanometer to 5,000 micrometers and inherent in the
19 definition is a provision that this is subject to change
20 in response to new information, which I think is good,
21 advances in analytical techniques and/or the
22 standardization of the methods.

23 So typically, studies thus far have reported the
24 plastics in terms of several different common polymers.
25 These are just on the screen are a list of some of the

1 more common ones, like polyethylene, polypropylene,
2 polyester, nylon, et cetera, but there's many more. And
3 it even tends, in most studies, to include modified
4 natural fibers, like rayon.

5 [SLIDE CHANGE]

6 DR. JEFF WAGNER: So looking at sort of a
7 simplified diagram of how pollutants in general are taken
8 into the human body and then the pathways that they would
9 take towards biospecimens that we might collect, I think
10 is useful for thinking about microplastics. I have drawn
11 a solid box around blood and urine, because that's most
12 commonly the biospecimens that we look at in our Program,
13 but there's several other potential candidates that could
14 be looked at, depending on feasibility and whether we
15 expect microplastics to end up there.

16 So I've drawn solid arrows to inhalation,
17 ingestion. Those two routes seem to be most likely. I'm
18 not aware of how dermal absorption could be relevant to
19 them, but I just left it as a question mark. Likewise,
20 with several of the biospecimen endpoints. It seems
21 like -- and we'll hear a bit more about this from the
22 other panelists, but it seems like urine and blood are
23 again good candidates, but there are others, perhaps milk
24 for example.

25 [SLIDE CHANGE]

1 DR. JEFF WAGNER: And then finally the methods,
2 by which microplastics are analyzed and quantified. In
3 our lab, we predominantly use microspectroscopy. We had
4 so far nine publications on method development, dating
5 back to 2011. And as I mentioned earlier, these are all
6 in environmental matrices with the addition of fish tissue
7 and consumer products.

8 But the method, with some modifications, is
9 totally applicable to human specimens as well. And it
10 involves semi-automated microplastic identification and
11 size measurement, which, as I mentioned earlier, is fairly
12 important of individual particles. And since you're doing
13 individual particles, even if it's an automated technique,
14 you get counts per sample, and then these can then be
15 converted with some assumptions into mass microplastics
16 per sample.

17 The two major tools are Raman microspectroscopy
18 and FTIR, Fourier transform infrared. They have slightly
19 different detection limits that you'll notice only go
20 down, best case, to 1 micrometer. So that's a significant
21 limitation, if you're looking for things particularly that
22 you expect in the human body to be smaller than that.

23 Typically, these methods are supplemented with
24 optical microscopy, which provides a lot of good
25 information on these individual particles. You can see

1 And I just wanted to mention that Biomonitoring
2 California already has methods for several different
3 microplastic associated chemicals, like fluorinated
4 compounds, plasticizers, flame retardants, and metals.

5 Our measurement methods we've used so far in our
6 laboratory are: count-based microspectroscopy, which is
7 costly, but informative; mass-based pyrolysis GC-MS, which
8 is an emerging alternative; and then there are some newer
9 methods, such as flow cytometry, which you'll be hearing
10 about, I hope, from some of the other panelists, that are
11 also promising. And for all of the above, just to keep in
12 mind that blanks, QC and reference samples are essential.

13 Thank you.

14 (Applause).

15 CHAIR MCKONE: We're behind schedule a bit, but
16 we do have ten minutes allocated for questions both from
17 the Panel and from the audience, but we will begin with
18 Panel questions.

19 Oliver.

20 PANEL MEMBER FIEHN: I am only vaguely informed.
21 Thank you for the introduction and review. So, I always
22 thought that it's very much about the shape of these
23 microplastics. So what is the best method to look at
24 shapes, like how pointy they are, and how ragged they are,
25 and whatnot.

1 DR. JEFF WAGNER: Yes. I think that is an
2 excellent point. And I hope I don't break it again by
3 advancing the slides backwards, but if you -- okay. Well,
4 some of -- some people may be able to see that there's a
5 picture of -- that I showed before of a stomach with a
6 fiber -- plastic fiber. And that's a perfect example. In
7 that case, the smallest dimension of the particle was key
8 and the longest dimension was also key, because it meant
9 that the particle got in, but it didn't get out. And that
10 type of thing, fibers are notorious for that.

11 Particularly with asbestos and fiberglass inhalation,
12 shape means everything. It's almost the only thing.

13 So I do think shape measurements are probably not
14 reported in all cases. So I think that's a good point.

15 STEPHANIE JARMUL: Jenny has a question.

16 PANEL MEMBER QUINTANA: Hi. This is Jenny
17 Quintana. I guess in terms of prioritizing, I was
18 wondering how much work is being done at looking at the
19 source and type of particles in an important target organ,
20 and I'm thinking of the brain specifically, which I know
21 has been done on autopsy? How much work is being done on
22 looking at those and then trying to figure out how to
23 monitor those, because they have a direct relevance to
24 getting inside the brain, or if you have any comments
25 about that? Thank you.

1 DR. JEFF WAGNER: Yeah. I think paying attention
2 to the target organs and the target health effects that
3 you're most interested in is really important going
4 forward, because it's one thing to just report the
5 presence of microplastics, but to talk about the
6 plausibility of them ending up in something like the
7 brain, the shape, size, yeah, I totally agree. And I
8 believe I skipped too fast over -- I was going to mention
9 in my biological pathway slide, that there was a dotted
10 arrow going from the nose to the brain. And perhaps some
11 of the offer panelists will be talking about that dotted
12 pathway.

13 CHAIR McKONE: Okay. Other questions, audience,
14 Panel?

15 Oh, yeah. Timur

16 PANEL MEMBER DURRANI: This is Timur Durrani from
17 UCSF. A question for you on the routes panel slide that
18 you had with hair, teeth, and nails. Can you talk about
19 your perspective of trying to determine external
20 contamination from anything that's being part of the
21 matrix?

22 DR. JEFF WAGNER: Are you talking about like
23 environmental exposures that end up on say the hair,
24 teeth, nails, and didn't directly get there from --
25 through the human body?

1 PANEL MEMBER DURRANI: Right.

2 DR. JEFF WAGNER: Yeah. I think that's -- that
3 is definitely important for those three and also perhaps
4 perspiration. We've talked about that in internal
5 meetings in the Program as to how you sort that out. I
6 think there are some studies that try to quantify the two
7 components separately and try to figure out which is more
8 important.

9 For microplastics in specific, I personally have
10 a hard time imagining them ending up incorporated in
11 things like perspiration, hair, teeth, and nails.
12 However, as we start getting down to the nanoscale, I
13 guess -- I don't know if it's possible. That's very
14 small.

15 PANEL MEMBER DURRANI: We -- in the clinical
16 realm, we get asked these questions of people who will get
17 these hair samples and things, not so much from
18 microplastics, but for other things, metals and some other
19 things. And so all -- the question always comes up of how
20 did -- how is the sample prepped, how -- what -- how were
21 they -- you know, how -- they're are some, you know, sort
22 of famous examples of things of having external
23 contamination, cocaine being one of them and metabolites
24 to cocaine. So I didn't know if that was a sim -- if
25 there are similar issues here or if you found it inherent

1 and you felt like, okay, this would have been incorporated
2 somehow rather than from some external source and we're
3 confident that in our sampling, preparation, and so forth
4 that we can determine the two or are we?

5 DR. JEFF WAGNER: I think that's a good point and
6 I think that one line of defense for trying to understand
7 those questions is whether or not you're looking at a
8 parent compound or a metabolite. And in this case, I
9 don't know -- I don't know if there's a relevant
10 metabolite, but that would be a good question. Yep. And
11 lab cleanliness for sure. Yeah.

12 CHAIR McKONE: Okay. Yeah, Amy.

13 PANEL MEMBER PADULA: This is Amy Padula. I just
14 had a question. I was wondering the kind of more emerging
15 alternative of using GC-MS methods sounds maybe more
16 amenable to kind of biomonitoring. But I was wondering if
17 it can be anchored in some of the other work that you've
18 done with the microspectroscopy. Excuse me.

19 DR. JEFF WAGNER: Yes. No, I think that's a very
20 good point. And there have been studies that run
21 subsamples of a particular sample with both
22 microspectroscopy and GC-MS.

23 Yeah. I think that's important, because a vast
24 majority of the reports that have come out so far have
25 used that microspectroscopy. So to establish continuity

1 and it's a reality check. But I do think that even if it
2 didn't run both types of methods, the GC-MS -- the
3 pyrolysis GC-MS field is pretty devoted to using
4 standards, not just -- not just like reference library
5 hits, but actual standards to anchor their numbers in
6 reality.

7 PANEL MEMBER PADULA: I guess I'm also curious
8 to -- of maybe the importance of anchoring it also with --
9 I think you mentioned the other, you know, chemicals
10 monitored in blood or urine that are, you know, plastic
11 related. And then how -- I guess are there ways to really
12 kind of harmonize them in a way that gives -- provides
13 more validity, because I guess with all of -- with
14 emerging methods to quantify it, it also seems like, well,
15 if we're quantifying different things, how do we -- how do
16 we better understand this to make sure that we're aiming
17 for the same type of thing that we're measuring.

18 DR. JEFF WAGNER: And so, are you thinking that
19 if you could quantify some of the plasticizers and flame
20 retardants, all those things at the same time as the
21 plastics, that that would give a more complete picture?

22 PANEL MEMBER PADULA: I mean, to me I think that
23 would. I'm just wondering if that's -- if that's being
24 done or --

25 DR. JEFF WAGNER: Yeah. I would love for one of

1 the other panelists who has more experience with GC-MS
2 techniques to weigh in on that, but my understanding is
3 that since you're basically incinerating everything on the
4 way in, that that might limit the types of compounds you
5 can detect. With FTIR and Raman, it's definitely possible
6 to analyze all those -- all those things at once. Yeah.

7 PANEL MEMBER PADULA: Thank you.

8 CHAIR McKONE: Okay. I think we're going to cut
9 off at this -- because we do have a 20-minute period at
10 the end for a broader discussion, but I think we're a
11 little behind, so we'll move on.

12 So, next we'll be hearing from Dicle Yardimci.
13 Dicle is a Senior Hazardous Substance Engineer with the
14 California Department of Toxic Substances Control, Safer
15 Consumer Products Program. Dicle has been leading the
16 microplastics and consumer products screening research
17 effort at DTSC. She will give a presentation on the DTSC
18 efforts on microplastics in consumer products.

19 Okay.

20 (Slide presentation).

21 DR. DICLE YARDIMCI: Thank you. It doesn't let
22 me share my screen. If you could unshare. Thank you.

23 Thank you for the introduction. Hello, everyone.
24 I'm Dicle Yardimci. I'm with the California DTSC Safer
25 Consumer Products Program, and today I will give you an

1 overview of what we have been doing on microplastics.

2 [SLIDE CHANGE]

3 DR. DICLE YARDIMCI: And this presentation
4 summarizes the publicly available information that's
5 available on DTSC's website and I do not have any conflict
6 of interest to disclose.

7 [SLIDE CHANGE]

8 DR. DICLE YARDIMCI: And I will give you a quick
9 overview of DTSC and the SCP Program, then we will talk
10 about microplastics, hazard traits, and their exposure
11 potential and I will then give you a brief overview of our
12 preliminary research on microplastics in various consumer
13 products and we will have a Q&A at the end.

14 [SLIDE CHANGE]

15 [SLIDE CHANGE]

16 DR. DICLE YARDIMCI: DTSC has three different
17 programs, including the Site Cleanup, Hazardous Waste
18 Management, and Safer Consumer Products Program. And in
19 2008, California Green Chemistry Law created -- went into
20 effect and it created the Safer Consumer Products
21 Regulations, SCP regulations for short.

22 [SLIDE CHANGE]

23 DR. DICLE YARDIMCI: SCP regulations took effect
24 on October 1st of 2013, which then created the SCP
25 Program. And our mission is to promote the development of

1 products that are safer for people and the environment.
2 While we do that, we evaluate harmful chemicals and safer
3 alternatives to those harmful chemicals, and also avoid
4 regrettable substitutes. Our program promotes innovation.
5 This is a transparent and science-based program, and our
6 regulations are enforceable throughout the state.

7 [SLIDE CHANGE]

8 DR. DICLE YARDIMCI: And our program regulatory
9 framework has four steps. First step is the candidate
10 chemical list. These are the chemicals of concern that
11 are listed by authoritative bodies or DTSC can also add
12 chemicals to this list. And these are essentially a menu
13 of chemicals we can regulate in consumer products.

14 Second step is the priority products. These are
15 consumer products that contain at least one candidate
16 chemical from Step 1, and that has the potential to cause
17 harm. For us to list a consumer product, as a priority
18 product, we have to go through a rulemaking process.

19 And the third step is manufacturers of the
20 priority products, evaluate safer alternatives to the
21 candidate chemical in their product and submit that to the
22 Department.

23 And the last step is regulatory response. This
24 is a range of possible regulatory actions to protect human
25 health and the environment.

1 DR. DICLE YARDIMCI: And there -- microplastics
2 are typically categorized either primary or secondary.
3 Primary microplastics are the ones that are intentionally
4 manufactured for specific products or applications, such
5 as microbeads and personal care products or they can be
6 secondary coming from fragmentation of larger plastics
7 such as tire wear particles.

8 [SLIDE CHANGE]

9 DR. DICLE YARDIMCI: Most microplastics exhibit
10 the hazard traits of environmental persistence and they
11 can be mobile in environmental media. And depending on
12 their physical chemical properties, they can also exhibit
13 additional hazard traits, such as carcinogenicity
14 dermatotoxicity and wildlife impairment.

15 [SLIDE CHANGE]

16 DR. DICLE YARDIMCI: So this is a conceptual
17 model, which shows some of the sources, pathways, and
18 Environmental fate of microplastics once they are released
19 from consumer products. Please note that this doesn't
20 include every single source, pathway, or environmental
21 fate. So this is just giving you an example of
22 microplastics can come directly from the households via
23 human consumption, can end up in the environment, in
24 surface water. They can also come from wastewater
25 treatment plants or leach from landfill, as well as

1 agricultural products and biosolid. They can be carried
2 via air, dust, wind, and rain.

3 [SLIDE CHANGE]

4 DR. DICLE YARDIMCI: And there are reasons we are
5 concerned about microplastics because they're persistent
6 and mobile in the environment, and they're widespread.
7 They're everywhere. They're in remote locations. They
8 are found in drinking water in the air, the beverages, and
9 on food humans consume. Because of their small size, they
10 can be consumed by humans and other organisms. They can
11 also taken up by plants via water and soil. And there is
12 potential for adverse health impacts in organisms and
13 humans that are exposed to microplastics.

14 [SLIDE CHANGE]

15 DR. DICLE YARDIMCI: As I mentioned,
16 microplastics are -- can be inhaled from air. They can be
17 ingested from the drinking water, food, and beverages.
18 There is also a potential for dermal exposure via
19 contacting products with microplastics, and also from
20 household dust.

21 [SLIDE CHANGE]

22 DR. DICLE YARDIMCI: And there is potential for
23 human adverse health impacts. However, as the previous
24 speaker mentioned, the state of science is currently
25 evolving in this field to understand the human health

1 impacts of microplastics exposure better, but there are
2 studies already in the literature showing that
3 microplastics have been detected in human blood, lungs,
4 placenta, testes, breast milk, and stool samples.

5 Children, pregnant people, and workers are some
6 of the vulnerable populations that are exposed to
7 microplastics on a frequent basis. There is also
8 potential for environmental impacts on wildlife exposure
9 to microplastics, because they have been detected in every
10 ecosystem, air, water and soil.

11 And depending on the size, shape, and color of
12 microplastics, wildlife can mistake microplastics for food
13 and feed on them. Microplastics can transfer through the
14 food chain and plants can uptake microplastics from water
15 and soil.

16 [SLIDE CHANGE]

17 DR. DICLE YARDIMCI: There is also potential for
18 wildlife adverse impacts from exposure to microplastics.
19 And there are plenty of studies in literature showing
20 exposure to microplastics can cause physical stress and
21 damage, changes in gut microbiota, inflammation, oxidative
22 stress, and immune responses in organisms that are exposed
23 to microplastics.

24 [SLIDE CHANGE]

25 DR. DICLE YARDIMCI: And now, I'm going to do a

1 quick overview of our preliminary research on
2 microplastics and various consumer products.

3 [SLIDE CHANGE]

4 DR. DICLE YARDIMCI: For those of you who are not
5 familiar, every three years, we do release what we call a
6 Priority Product Work Plan. This Work Plan contains
7 different product categories we will be working on within
8 that three-year time frame. Our most current Work Plan,
9 2024-2026, we added a policy priority called to reduce the
10 release of microplastics into the environment during the
11 product's life cycle. We also did a new product category
12 for products that contain or generate microplastics.
13 Based on this policy priority and this new product
14 category, we started evaluating products that has the
15 potential to generate microplastics.

16 Now, we are in the very early stages of our
17 process. If you recall from the beginning of my
18 presentation, we are in the very first step. And before
19 doing research, we also reached out to industry,
20 government agencies, academia, and some nonprofit
21 organizations that are subject matter experts in the field
22 to get their feedback.

23 [SLIDE CHANGE]

24 DR. DICLE YARDIMCI: We also reviewed regulations
25 and work done by other government agencies, such as Ocean

1 Protection Council's Statewide Microplastics Strategy,
2 CalRecycle's Plastic Pollution Prevention and Packaging
3 Producer Responsibility Act, as well as European Union
4 Commission regulation on microplastics.

5 [SLIDE CHANGE]

6 DR. DICLE YARDIMCI: Because microplastics are in
7 so many products, we had to start somewhere. So based on
8 this consultation and our findings, we started with an
9 initial product list and then based on what we found
10 and -- for feasibility for SCP, we did narrow our product
11 list and conducted further research. And we held a public
12 workshop on these products at the end of last year. The
13 products that were -- we haven't conducted for the
14 research, that doesn't mean we won't go back and look at
15 them, so we may revisit them in the future.

16 [SLIDE CHANGE]

17 DR. DICLE YARDIMCI: In 2025, we released a
18 public background document, so this is published on our
19 website, which lists the different products that has the
20 potential to generate microplastics, that we had looked
21 at. We also recorded the public workshop, so that's also
22 available. The recording is available on our website.
23 And in January of this year, we closed the public comment
24 period, so this is a written public comment period. And
25 the comments we received from this past workshop are also

1 available on our website as well.

2 [SLIDE CHANGE]

3 DR. DICLE YARDIMCI: As I mentioned, we started
4 with an initial list of product categories first. And
5 this list included lots of different product categories,
6 such as agricultural products, aquaculture products,
7 artificial turf, personal care products, building
8 products, cigarette filters, cleaning products, food
9 contact articles. We also looked at furniture, glitter,
10 motor vehicle parts, nurdles, personal protective
11 equipment, floral products, synthetic textiles, and toys.

12 So this was a very big list of products with some
13 of the product categories having multiple products under.
14 And then based on our consultation with the experts and
15 also our own findings from doing a quick research on these
16 product categories, we narrowed our list.

17 [SLIDE CHANGE]

18 DR. DICLE YARDIMCI: And our narrowed list of
19 products included artificial turf infill, children's toys
20 containing primary microplastics, plastic film mulch,
21 single-use cigarette filters, water-based interior wall
22 paints, cleaning products, and food contact articles, that
23 we conducted further research and we held the workshop on.

24 [SLIDE CHANGE]

25 DR. DICLE YARDIMCI: And the types of cleaning

1 products we looked at were three distinct product types.
2 The first one being intentionally added polymers in
3 laundry and dishwashing detergents. The second one was
4 polymeric fragrance microcapsules in laundry detergents
5 and fabric softeners. And the third one water-soluble
6 polymers in laundry and dishwashing detergent pods.

7 [SLIDE CHANGE]

8 DR. DICLE YARDIMCI: And for the food contact
9 articles, we looked at six different food contact
10 articles, including plastic beverage bottles and caps,
11 baby feeding bottles, wrappers for snacks and candy, cling
12 wraps and films, plastic tea bags and polystyrene foam
13 foodware.

14 Now, because of the time allocation, I won't be
15 able to go into every product in detail on our findings.
16 But if you are interested, these findings are available in
17 our public background document, as well as in the workshop
18 recording that I can share the links in the chat.

19 [SLIDE CHANGE]

20 DR. DICLE YARDIMCI: So the key takeaways here.
21 Many consumer products contain or they have the potential
22 to generate microplastics. And most of these
23 microplastics are persistent, mobile, and widespread in
24 the environment. Therefore, there is potential for both
25 human and wildlife exposure to microplastics. And there

1 is also potential for adverse impacts to humans, wildlife,
2 and the environment for the exposure to microplastics.

3 And there has been ongoing research on safer
4 alternatives in some of these products. And the next
5 steps, we will be reviewing the public comments we receive
6 and internally decide if we need additional research. And
7 we will potentially list some of those products that can
8 generate microplastics as priority products via
9 rulemaking.

10 Again, I would like to remind everyone, we cannot
11 regulate the priority products that can generate
12 microplastics before microplastics -- adding microplastics
13 to candidate chemical list rulemaking has been finalized.

14 [SLIDE CHANGE]

15 DR. DICLE YARDIMCI: And the type of data that
16 will be very helpful for us for listing priority products
17 in the future to know the source of microplastics, such as
18 polymer types, and also product types, and human exposure
19 studies to show potential for human exposure.

20 [SLIDE CHANGE]

21 DR. DICLE YARDIMCI: There was a big team worked
22 on this microplastic efforts. So I would like to thank
23 everyone who helped with this project, as well as the
24 subject matter experts that we have consulted with
25 throughout this process.

1 [SLIDE CHANGE]

2 DR. DICLE YARDIMCI: And we will have a Q&A
3 session, I believe five minutes. And this is my contact
4 information and our SCP email if we can't get to your
5 question today. And you can sign up for our E-list to get
6 updates about our SCP Program. And also, if you're
7 interested in career opportunities, those are available on
8 our website as well.

9 CHAIR MCKONE: All right. Thank you, Dicle.
10 That was very informative, very interesting.

11 (Applause).

12 CHAIR MCKONE: So we have five minutes for Panel
13 and audience questions. These are really quick short
14 questions. And then we will follow that up with an open
15 discussion and input. So, we'll begin with the Panel
16 questions.

17 Carl.

18 PANEL MEMBER CRANOR: Thank you for the -- for
19 the two presentations. The microplastics seem worrisome.
20 There's clear exposure. They show up in different
21 organisms. Have there been -- this is really a naive
22 question. Have there been studies as to what dysfunctions
23 they might cause in animals or whatever that have
24 concentrations of microplastics in their organs? Is there
25 a macro worry from the micro events that are happening?

1 I'd like to know more about that?

2 DR. DICLE YARDIMCI: Sure. Great question. So
3 one of the -- especially for humans, there is no like safe
4 limit that I know of that has been set, but I would like
5 to make --

6 PANEL MEMBER CRANOR: Can you speak up a bit. I
7 think you're too far from the mic.

8 DR. DICLE YARDIMCI: Can you hear me?

9 PANEL MEMBER CRANOR: Now. Now. Speak closer to
10 the mic.

11 DR. DICLE YARDIMCI: Can you hear me?

12 STEPHANIE JARMUL: Yes.

13 DR. DICLE YARDIMCI: Okay. I didn't actually
14 move, so I don't know what happened there. So for humans,
15 there is no set limit for a safe microplastics exposure
16 limit that I know of. The one nuance I'd like to make for
17 our program, we don't need to quantify a safe limit. We
18 just have to show potential for exposure and adverse
19 impacts for us to be able to list a consumer product and
20 regulate it.

21 And for animals, there has been plenty of studies
22 in the literature showing adverse health impacts, but
23 again I'm not sure if there is actually like limit
24 determined. Maybe some other folks on the call have more
25 definitive answer for you.

1 CHAIR McKONE: Questions from the Panel?

2 I just -- myself I have a question and we'll move
3 on.

4 So, in terms of the fate analyses, these are
5 different from classic transport and fate, where the --
6 it's solution chemistry or something. These -- I assume
7 these are -- their fate both in the environment and in
8 humans must involve some sort of binding perhaps to
9 different proteins or different tissue types. Is that
10 what you're looking into or have you -- have you
11 quantified what the sites of binding and persistence
12 actually are?

13 DR. DICLE YARDIMCI: Great question. Again, so
14 Safer Consumer Products works very differently compared to
15 like California Biomonitoring Program. So we don't like
16 look at exactly like how it binds to the tissues. Like we
17 don't go into that depth. We collaborate with other
18 agencies and we also look at literature search to look at
19 the publicly available information and then consult with
20 the subject matter experts in the field.

21 The only regulatory mandate we have to show there
22 is potential for exposure. So we don't do any kind of
23 risk analysis or we don't have to quantify a safe limit
24 for microplastics for us to regulate consumer products.

25 CHAIR McKONE: Okay.

1 DR. DICLE YARDIMCI: I would love to answer the
2 question, but, yeah, I don't have that information.

3 CHAIR MCKONE: Okay. Thank you. Questions from
4 the audience, or online?

5 The Panel?

6 PANEL MEMBER PADULA: The is Amy Padula. I guess
7 this question is about the ongoing research of safer
8 alternatives. I was wondering if you're -- if anyone
9 would be looking into compostable plastic bags, in terms
10 of the kind of new alternatives to plastic? I'm wondering
11 if they are truly compostable or whether there are any
12 microplastics that remain from those products?

13 DR. DICLE YARDIMCI: So we did receive some
14 public comments regarding the compostable alternatives.
15 We are currently reviewing them. But just to give you a
16 more clear picture, we are in the very first step of early
17 engagement. So we are not going to look at alternatives
18 to a specific product until we propose a priority product
19 and go through rulemaking. And once it's officially
20 listed via rulemaking, then we will be talking to the
21 manufacturers of those products for potential
22 alternatives.

23 We are looking at like a couple of years. This
24 is assuming the microplastics are added to our candidate
25 chemical list via a rulemaking first. So we can't really

1 do much until that rulemaking has been finalized. Then we
2 will start listing products. And at that point, the
3 rulemaking itself for a product is going to take another
4 year. At that point then, we will be talking to both the
5 manufacturers of those products and also people who are
6 experts in the field to look at potential alternatives.
7 So this is going to be a couple years down the line.

8 DR. AHIMSA PORTER SUMCHAI: Dr. Ahimsa Porter
9 Sumchai. Cellophane is a biodegradable wrap that is made
10 of cellulose. It's plant based. All of us use it. I
11 wonder what work has been done with regard to any
12 potential toxicity it has. It's not recyclable, but, you
13 know, it appears to be a safer alternative to plastics
14 used, especially in food wrapping.

15 DR. DICLE YARDIMCI: This is great. And I would
16 really encourage you to reach out to us. Either you can
17 email it to me or our SCP inbox. We are actually
18 gathering this type of information. And once we start
19 looking at releasing profiles for priority products, we
20 w-o-u-l-d like to know as much information as possible
21 about these alternatives. That can then help us determine
22 a safer alternative, not a regrettable substitute.

23 CHAIR McKONE: So we have time -- I mean, I think
24 we moved into open discussion now. And I think it's a
25 really -- I want to expand on this question a little bit,

1 on the question of consumers who are looking for
2 information. There are many people who want to know is
3 this product safe, or is this product not safe, or at
4 least, you know, what are the recommendations? Is DTSC
5 moving toward a point where they might be able to actually
6 identify in more detail what products result in fairly
7 limited generation and persistent of microplastics and
8 what products appear to be associated with much longer
9 lived, much more dispersed and widely transported. I
10 mean, even having a site where we can organize that so
11 people could make product choices.

12 DR. DICLE YARDIMCI: Great question. So we
13 cannot promote a specific product type or brand, but we
14 have a separate effort going on to encourage manufacturers
15 to voluntarily disclose some of the safer alternatives in
16 products. Once we move forward with microplastics added
17 to our candidate chemical list and we start looking at
18 like products deeper, at that point, we are going to
19 encourage manufacturers to submit what safe alternatives
20 they consider. And those will be, at that point,
21 published on our website, but it won't be like a specific
22 product or brand. It will be mostly naming the
23 alternative.

24 And that process is voluntarily. But once we do
25 rulemaking on a specific priority product, then

1 manufacturers must conduct an alternative analysis. So
2 that's actually mandatory, but we do both. So if you --
3 if they were to submit us their voluntary safer
4 alternatives, those will be published on our website. But
5 I can't really give you a timeline, because this one is
6 bound by the rulemaking of the -- adding microplastics to
7 the candidate chemical list. And then the product has to
8 go through its own process, which takes -- which can take
9 two or longer years to complete it.

10 CHAIR McKONE: Okay. Thanks. We have another --
11 since you're not here with us, I don't know what you can
12 see, but we have another question from the audience.

13 ALEX OKRUT: Hi. This is Alex Okrut from the
14 California Department of Public Health. I'm interested in
15 learning about when plastics release microplastics. Is it
16 during everyday use, or is it during degradation, or well
17 there -- is there some mechanical mechanism? Thinking of,
18 for example, a sandwich bag made of PE that lives in my
19 household for maybe a week and then it probably sits in
20 landfill for years. Is this -- are there studies?

21 DR. DICLE YARDIMCI: Great question. And the
22 short answer is all of those routes. So heat being one of
23 the main contributor to fasten the microplastics through
24 these -- let's say for your sandwich bag, if you microwave
25 that with food in it, it is likely to transfer

1 microplastics into your food faster as opposed to keeping
2 it in a cooler condition, such as storing it in a fridge.

3 So use, waste is definitely one of the routes.
4 Once it ends up in landfill, it will continue to generate
5 secondary microplastics, because it's going to be exposed
6 to sunlight, water, wind, microorganism activity. So it's
7 going to continue to create micro -- generate
8 microplastics.

9 So -- and mechanical one, you have just
10 mentioned. So an example would be that plastic water
11 bottle. When you open close the cap, that would be a
12 mechanical stress you apply, that can release
13 microplastics into the water or any other beverage in that
14 plastic bottle. So all of those routes essentially are
15 the ways to it.

16 CHAIR McKONE: Other questions online or -- oh,
17 sorry. Lara.

18 PANEL MEMBER CUSHING: Hi. This is Lara Cushing.
19 Thanks for the presentation. I was curious if data from
20 Biomonitoring California has ever been used in the
21 rulemaking process or this screening process that you use,
22 since, you know, part of the requirement -- sorry.

23 DR. DICLE YARDIMCI: Yeah, I think leading the --

24 PANEL MEMBER CUSHING: Can you hear me?

25 DR. DICLE YARDIMCI: -- microplastic efforts,

1 that's the program-wide question, I would have to check
2 with SCP management on that. I don't have the answer for
3 you. Oh, there's --

4 DR. ANNE COOPER DOHERTY: Yeah. Hi. I'm Anne
5 Cooper Doherty and I'm with the Safer Consumer Products
6 Program and I lead our Chemical Evaluation Unit. I don't
7 have exact statistics, but was just going to say that we
8 definitely use the data from Biomonitoring California
9 quite frequently. I know I reviewed at least a document
10 in the last couple months that highlighted it. So it's
11 something that we frequently look towards, because as
12 Dicle said, we have to show the potential for exposure in
13 California. And so, Biomonitoring California data is a
14 huge win whenever we can have it and use it.

15 So we definitely use it that way. And also
16 chemicals that on your priority list for monitoring are
17 automatically added to that first step that Dicle talked
18 about, the candidate chemicals list. So classes like
19 quaternary ammonium compounds, or, you know, bisphenols
20 and things like that are automatically on our list and
21 available for us to potentially regulate, because of the
22 prioritization efforts that you all do. So definitely
23 heavily used in our program. So thank you.

24 DR. DICLE YARDIMCI: Thank you, Anne Cooper.

25 PANEL MEMBER CUSHING: Yeah. Thanks. Just -- I

1 guess I was just thinking is there anything that would be
2 particularly useful in this instance around microplastics
3 from the biomonitoring perspective to support the
4 rulemaking process that we should be thinking about.

5 And then I had a sort of unrelated question as
6 well, which was it just seems very cumbersome to have to
7 go product by product. So is there some mechanism where
8 you can regulate like a class of products, so it's not
9 such a whack-a-mole type of -- you know, because it seems,
10 you know, many years to go about this rulemaking process.
11 And I love that this is happening and I'm wondering how we
12 can facilitate it to happen faster.

13 DR. ANNE COOPER DOHERTY: Yeah. I think on the
14 candidate chemical listing for microplastics themselves,
15 that rulemaking is, as Dicle said, you know, already under
16 way, and they're in the kind of final stages of that. In
17 terms of rulemakings for priority product listings
18 themselves, I'll let Dicle speak to that.

19 In terms of product by product, I mean we can
20 define the scope of the products as large or as small as I
21 think we want. It's honestly oftentimes a function of our
22 capacity to handle the resulting influx of responsible
23 entities that come with any listing. We are a relatively
24 small program. We've recently doubled in size, so we're
25 up to 75 or so, but we're still relatively small, given

1 the wide scope of consumer products within the state.

2 So, it's often just how much we can handle at any
3 one time, because it's fairly intensive to walk the
4 manufacturers through our process and ensure compliance
5 and all that work. So that's often a big factor in how
6 we're kind of sectioning things off. We're also looking
7 at things like what the evaluation of alternatives
8 entails. You know, a lot of times the products can be
9 very different, and so looking at an alternative to
10 microplastic or the plastic in one product may be a
11 completely different process than in another product. So
12 that can also inform how we might divvy up the
13 rulemakings, so -- and, Dicle, if you want to speak to
14 helpful information.

15 DR. DICLE YARDIMCI: Yeah. Thank you Anne Cooper
16 for the great summary. Another factor would be typically,
17 which might not be the case always, the manufacturers of
18 those products are going to be different. Then that's
19 another factor that we may divide up the rulemaking, but
20 we can use the bigger technical document for the -- on the
21 basis for our rulemaking. But once we get into the
22 alternative analysis process, that's going to be different
23 depending on the product type.

24 DR. ANNE COOPER DOHERTY: And Dicle, did you want
25 to speak about the types of data that's helpful?

1 DR. DICLE YARDIMCI: Yeah.

2 DR. ANNE COOPER DOHERTY: I think that was your
3 next to last slide.

4 DR. DICLE YARDIMCI: Yeah. It was kind of
5 towards the end. So, we want to showcase the potential
6 for exposure coming from a certain product type. So
7 knowing the polymer type, the product type, backtracking
8 the microplastics to the source is very helpful for us,
9 but then I do understand that's pretty challenging to do
10 once it's in the environment. And then the other one, if
11 you are putting human exposure as our basis for the
12 proposal for rulemakings or human exposure routes is
13 another important one that California Biomonitoring I can
14 see coming to help support our argument as well.

15 CHAIR McKONE: Other questions from the Panel.

16 STEPHANIE JARMUL: Hi. Stephanie Jarmul. I just
17 wanted to comment that DTSC's SCP's work is also very
18 useful to Biomonitoring California. It's a two-way
19 street. It's really helpful to learn which types of
20 products these chemicals are in or even microplastics, if
21 we ever were to be able to biomonitor for microplastics.
22 It would be helpful to know where they're coming from and
23 what the exposure sources are for, you know, our
24 questionnaires, et cetera, and how we design our studies.
25 So thank you for all your work you do too.

1 CHAIR MCKONE: Any questions?

2 I have one, if there's not more. I have -- so I
3 have a question a little bit different. What other
4 entities, well the federal, but other states, Canada, but
5 particularly Europe, what's going on in these other areas
6 that might be of use, or would be something you could
7 profit from, or share information, particularly in the EU?
8 I'm assuming the EU is pretty aggressive in this area,
9 because they tend to be pretty much on the curve or ahead
10 of the curve in a lot of these areas.

11 DR. DICLE YARDIMCI: And you are correct on that
12 one. So in 2023, EU passed a regulation on intentionally
13 added microplastics in various products. And
14 manufacturers already started working on some of the
15 alternatives to comply with the EU regulations. And
16 whenever there is a bill introduced in California, that is
17 actually heavily impacted by what's done in the EU,
18 because that's kind of the argument that manufacturers
19 push back on, so which can impact how the regulation is
20 going to shape up in California.

21 And some of that information is -- also could be
22 useful for us, of course. But a lot of these products
23 they have a transition period, so six years or eight
24 years. So it's going to be several years down the line
25 before we can see the impact, like how their regulations

1 play out, but that doesn't mean, you know, we're going to
2 start -- still start doing things on our end.

3 In terms of like the -- for example, for the food
4 contact articles, like if we did looked at FDA resources,
5 but right now, they are not doing anything in the near
6 term regarding microplastics specifically. That was the
7 information also on their website.

8 I think the bigger fronts would be like State of
9 Washington and Oregon typically are the other two states
10 are going to be the leading states, in addition to
11 California in this field, because they're typically ahead
12 of the game compared to the other states from my
13 experience, like working here for other harmful chemicals
14 as well.

15 CHAIR McKONE: Okay. Thank you. Unless there's
16 other burning questions or comments, I think we can move
17 on now to our third presentation. Our next speaker is
18 Matt Campen. Matthew is a Distinguished Professor at the
19 University of New Mexico. Dr. Campen is an expert in the
20 systemic impacts of inhaled toxicants, especially wildfire
21 smoke on cerebral vasculature, placental development, and
22 cellular aging. He'll give a presentation on current
23 methods for detecting microplastics in humans.

24 (Slide presentation).

25 DR. MATT CAMPEN: All right.

1 STEPHANIE JARMUL: Hi, Matt. We see your
2 presenter view. This is Stephanie. If you could switch
3 it.

4 DR. MATT CAMPEN: Again?

5 (Laughter).

6 DR. MATT CAMPEN: I had it right the last time.
7 Better now?

8 STEPHANIE JARMUL: That's great. Thank you.

9 DR. MATT CAMPEN: Okay. And when I move it, it
10 moves, right?

11 STEPHANIE JARMUL: Yes.

12 DR. MATT CAMPEN: Oh, yeah, I can actually see it
13 on the screen. This is cool. Okay. So thank you for
14 inviting me. Thank you for that introduction.

15 I'm in a different position and really have --
16 I've appreciated this conversation so far. And what I
17 want to say is welcome to the beginning of a science. And
18 this audience is a regulatory body that really depends on
19 rich knowledge that we just don't have in the world of
20 microplastics right now. And I'm leaving it sitting on
21 this slide, because these little flakes that you see in
22 front of you that are all universally like less than 200
23 nanometers long. These are about the size of viruses.
24 And my view, which is -- which is not in press yet, which
25 is -- which is still very contentious, is that this is the

1 predominant form of plastic in the human body, as we view
2 it from my laboratory.

3 [SLIDE CHANGE]

4 DR. MATT CAMPEN: I'll point out financial
5 disclosure, my research funding comes from the NIH
6 exclusively. I am a scientific advisor for Circulate
7 Health, which is a company that's interested in
8 plasmapheresis. And you may construe some of what things
9 I say as leaning towards that as a viable option for all
10 of this. I do not think so. I'll just leave it at that.

11 [SLIDE CHANGE]

12 DR. MATT CAMPEN: We've heard about primary and
13 secondary microplastics. And that's -- those are
14 important definitions. Most of what we believe from my
15 Program's perspective is that secondary is the predominant
16 concern. You have these things we throw away. We talked
17 about the plastics you throw away. It sits in a landfill.
18 I like to use this plastic bottle as a prop. I can empty
19 and refill this 10,000 times and not detect a meaningful
20 change in the mass of this product, but eventually the
21 entire mass of this is getting thrown in a landfill and a
22 lot of it's going to turn into microplastics. How that
23 circles back into our world to get into our diet, whether
24 it's through groundwater, or irrigation on fields, or what
25 have you, I don't know that that's been worked out fully,

1 but those are sort of the concerns we have from a -- from
2 a mass transfer basis leading to exposure.

3 But what I will say is that when we talk about
4 this distinction between the nanoplastics and the
5 microplastics. We know from the field of nanomedicine,
6 where people try to deliver drug to the body by wrapping
7 them in polymers like PLA and PLGA, we know that it's the
8 nanoscale stuff that really gets into the body
9 efficiently, can actually get to the brain and other
10 organs. So 100, 200 nanometer spheres of wrapped drug,
11 surrounded by polymer, that gets into the body. But stuff
12 that's the size of cells or bigger than cells, it really
13 doesn't get absorbed that well.

14 And so, the problem we have right now is that so
15 much of our science has been driven by the world of
16 environmental research, ecological research. Plastics
17 were -- microplastics were first discovered by Richard
18 Thompson in the ocean, and for a long time, it was an
19 oceanographic story. But that has been led by technology
20 like FTIR and Raman spectroscopy, where they just can't
21 see small enough things to be relevant to the human body,
22 much less so -- or to the human health.

23 And so, a lot of the stuff I'm going to talk
24 about is just at the edge of science. We don't know yet.
25 And I think that explains a lot of the uncertainty that

1 you all are feeling with regard to how to -- how to
2 monitor this, how to regulate this stuff.

3 [SLIDE CHANGE]

4 DR. MATT CAMPEN: And the concern we have - I
5 think everybody here appreciates this - is that this has
6 been an exponential growth curve of the production of
7 plastics on this earth. And from the time it's produced
8 to the time it's degraded could be decades, generations of
9 humans. So even if we were to do something as dramatic as
10 stopping production today, it would still be two or three
11 generations of humans before the formation of
12 microplastics from those products stopped happening, or at
13 least leveled off.

14 [SLIDE CHANGE]

15 DR. MATT CAMPEN: What is the state of evidence
16 for micro and nanoplastics causing human health outcomes?
17 It is not strong. There is very little research. Most of
18 it is observational. Most of it is associative. I like
19 the comment earlier that there's a lot of rodent studies
20 out there where we don't know if it was the right dose, or
21 the right particle, or polymer. We just have a lot of
22 uncertainty in this field right now.

23 It's a new field. You know, we knew back in the
24 1930s pretty confidently that smoking cigarettes caused
25 cancer in humans. But it wasn't until the 1960s, some 30,

1 35 years later that the Surgeon General actually did
2 something about that.

3 We're in a situation where Richard Thompson
4 discovered microplastics in the ocean in 2004, but the
5 first evidence that these were in the human body was
6 Antonio Ragusa's paper in 2021 where he showed these
7 plastic particles in the human placenta.

8 Currently, the information is of low quality, the
9 methods for detection are innovative and cool. And I --
10 as a research nerd, I really get into this stuff. But as
11 a person who wants solid answers, I've got to say they're
12 unvalidated. There's a lack of consensus from lab to lab
13 about the best method -- best method, especially on the
14 digestion process and how we eliminate concerns about
15 biometrics interference. So skepticism remains warranted
16 right now.

17 There is optimism in the sense that there's a lot
18 of really brilliant people and higher -- highly resourced
19 labs getting involved right now. So I'm going to -- I'm
20 going to talk about this just big picture for a couple of
21 slides. I do want to -- I always like to pull back. I
22 think this audience is very aware. We're talking about
23 microplastics and nanoplastic particles. The plasticizing
24 chemicals is a whole different bag and it's much more well
25 established and robust science. Not the same thing.

1 [SLIDE CHANGE]

2 DR. MATT CAMPEN: So two years ago, almost to the
3 day now, Raffaele Marfella, Giuseppe Paolisso came out
4 with this really seminal paper. It was not a perfect
5 paper by any stretch, but it was really seminal. And what
6 they did was they were extracting carotid arteries from
7 humans who were having that done for surgical
8 intervention. And they used pyrolysis GC mass spec to
9 measure the total amount of polyethylene and polyvinyl
10 chloride in that carotid artery lesion. And it was a
11 pretty big study. They had a lot of data. They basically
12 identified haves or have nots. If they could identify
13 polyethylene or polyvinyl chloride in the -- in the
14 carotid, they counted them as with micro or nanoplastics
15 or patients without nanoplastics.

16 And they found really clear distinctions in terms
17 of inflammatory factors, but most critically they found
18 that the patients who had micro and nanoplastics detected
19 in that carotid artery plaque, those individuals were 4.5
20 times more likely to have a subsequent cardiac event over
21 the next three years. And it's associative study, right?
22 So you don't know if there's something about their disease
23 that just led to greater uptake of those plastics. So,
24 it's either that this is a very good biomarker for risk or
25 that it is an actual driver of disease. And we don't know

1 what it -- what it is right now, but there were concerns
2 expressed. The pyrolysis GC mass spec method has
3 important considerations about accuracy and reliability.
4 Of course, it's an associate -- associative study and does
5 not assess causality.

6 [SLIDE CHANGE]

7 DR. MATT CAMPEN: What I will say is that since
8 that paper came out, there have been a handful of rodent
9 studies where mice who are vulnerable to developing
10 atherosclerosis do show that plastics in the chow
11 ingestion primarily is the exposure route. It does seem
12 to promote growth of vascular plaques. And so this is Tim
13 O'Toole's group. He's at University of Louisville. And
14 he's shown in a -- it was a brief letter in Research --
15 Circulation Research. Polystyrene could cause this, but
16 also he's recently shown that polyethylene or polyvinyl
17 chloride can cause this.

18 A researcher at UC Riverside recently published
19 that's Jingjing Zhao a similar study. There's a group at
20 my institute who has similar data. And Brian Kim at
21 Stanford is also moving forward with it. So we're
22 starting to see that, yes, that human associative study is
23 being buffeted by some real controlled exposure studies in
24 rodents that helps to clarify all this. And does give you
25 some indication that there might be some causality with

1 cardiovascular disease.

2 [SLIDE CHANGE]

3 DR. MATT CAMPEN: So this method of pyrolysis GC
4 mass spec, our group came around to this because of some
5 of the weaknesses in many of the other methods. So we
6 heard about using vibrational spectroscopy, that's FTIR
7 and Raman spectroscopy. And the first speaker talked
8 about how, you know, you're really limited to particles
9 around 1 to 5 micrometers or larger. And from our
10 perspective, that just doesn't reflect what gets into the
11 body. I mean, we all know about PM2.5. So whenever,
12 you're breathing stuff, the ultrafine particles that are
13 100, 200 nanometers are much more relevant to what gets
14 into the body. And the same is true in the gut. What you
15 actually absorb in the gut is going to be much, much
16 smaller than that.

17 So our group came around to wanting to use
18 something that was more cumulative for very, very small
19 particles, the nanoscale range. We also felt like a mass
20 concentration unit would be much more important than
21 contem -- you know, when we contemplate the size of the
22 particle or the shape of the particle, those are neat.
23 But you think again back to PM2.5, its just a mass
24 concentration.

25 EPA has struggled to incorporate, you know,

1 particle counts or other characteristics of particles, and
2 nothing really holds up when you're trying to get to some
3 risk assessment that's viable for, you know, policy
4 framework. So our feeling was mass concentration might be
5 a more useful metric. So this is -- this is an overview
6 of what we've been doing.

7 [SLIDE CHANGE]

8 DR. MATT CAMPEN: And it starts with chemical
9 digestion of tissue. We use potassium hydroxide. It's a
10 very, very strong base. You could use acid. Other people
11 use hydrogen peroxide as an oxidant or enzymatic
12 digestion. Different strategies have different strengths
13 and weaknesses. But ultimately, we digest the tissue and
14 leave the solid particulates, which are mostly plastic
15 from our analysis.

16 We spin. We pull down that as a pellet. And the
17 solids from that are then added to this little stainless
18 steel cup, put in the pyrolysis system. Those solids are
19 combusted and they release gases that are indirect
20 indicators of the original polymer. So, for instance,
21 with polypropylene, we're looking at a chemical called
22 2,4,6-trimethylnonane. For nylons, we're looking at
23 caprolactam. There pyrolysate indicate -- pyrolysate
24 indicators. And this is important, because it's indirect
25 and there's some vagaries when we're talking about fresh

1 plastics versus the aged plastics that we think is in the
2 body.

3 [SLIDE CHANGE]

4 DR. MATT CAMPEN: Our group recently stud --
5 actually, again this is now a year old. We published this
6 in Nature Medicine. The gist was we've been seeing a lot
7 of animal studies, but we wanted to know, well, what's
8 actually in the human body? Can we -- can we benchmark
9 animal doses for controlled exposures against something we
10 can actually measure in the human body. And it's tough,
11 because we came up with some answers. We still don't know
12 if these answers are really stupendous enough to use as a
13 benchmark.

14 But we acquired brain, kidney, and liver samples
15 from autopsies from decedents. Samples were collected
16 from a 2016 cohort and a 2024 cohort. These were
17 relatively well balanced in terms of age of death, in
18 terms of sex and cause of death. What we found was using
19 again this pyrolysis GC mass spec method was that the
20 concentrations in the brain were far higher than what we
21 were seeing in liver or kidney. And from 2016 to 2024,
22 there was a significant increase in the -- what we
23 measured in the liver and in the brain, not so much in the
24 kidney.

25 I do like to point out that the orange dots you

1 see here for the brain in 2016 were independently run by
2 another lab in -- at Oklahoma State University. They had
3 a pyrolysis GC mass spec just confirming that these are
4 the values you get with this digestion method.

5 [SLIDE CHANGE]

6 DR. MATT CAMPEN: Again, the demographics you can
7 see from 2016 to 2024. These are, you know, random
8 samples that came into the Office of the Medical
9 Investigator. So they tended to be relatively young
10 because these was -- a lot of these were accidental deaths
11 or drug overdoses. Bias towards male, but a relatively
12 even balance. Balance towards -- sorry, was there a
13 question?

14 Okay.

15 Balance towards White Hispanic, because we're in
16 Albuquerque. But you can see, you know, cause of death,
17 violence/trauma was about a third of it. Substance use
18 was relatively high. And then natural disease was another
19 third of that population.

20 [SLIDE CHANGE]

21 DR. MATT CAMPEN: In doing this study and
22 reporting it, the reviewers asked a couple questions. One
23 was you should really show us a wider range of dates. And
24 this is not an easy thing to just find brains. So we were
25 unable to get older preserved brains from New Mexico, but

1 we reached out to a colleague at Duke University. And so
2 I call these east coast brains, but North Carolina,
3 Maryland, and Massachusetts. And they span the range from
4 1997 to 2013. And they are all, you know, lower. It's a
5 noisy metric. There was a lot of variability between
6 people, but they were universally lower and they didn't
7 change the overall trend line of this increase in the
8 brain.

9 We were also asked to capture and examine
10 dementia cases. And fortunately, our Office of the
11 Medical Investigator does do that on a routine basis. And
12 so they were -- they were sampled almost exactly the same
13 way as the red dots from New Mexico. And you can see that
14 they were all universally much higher in concentrations
15 than what we were seeing in neurologically intact brains.
16 These are all samples from the frontal cortex, which is --
17 which is also an important point of this.

18 [SLIDE CHANGE]

19 DR. MATT CAMPEN: Pyrolysis GC has flaws -- well,
20 not flaws, but it has important considerations when you're
21 interpreting this. And in our study, I absolutely
22 appreciate the concern that lipids can look like
23 polyethylene. And so polyethylene you'll see this is the
24 relative distribution in our tissues, about 75 percent in
25 the brains, the relative amount of different polymers. It

1 appeared that polyethylene was highly present, highly
2 represented. I think this should be less than 50 percent.
3 So I absolutely think we're overestimating the amount of
4 polyethylene.

5 On the other hand, polypropylene and polyvinyl
6 chloride, these are not prone to the same interference, so
7 we're not sure how to interpret the forest for the trees
8 with that. The other thing is if you use very, very aged
9 plastic and compare it to fresh plastic, which is
10 potentially what we're doing, you underestimate
11 considerably the amount of plastic.

12 We use fresh polymers as our control standard for
13 this. And we know using like ocean microplastics that we
14 sort of pulled from the beach, and curate, and we run
15 those. And it can be like 80 percent lower values, even
16 though we're actually measuring one gram. It shows up as
17 two-tenths of a gram.

18 [SLIDE CHANGE]

19 DR. MATT CAMPEN: And if you look at all of these
20 different types of polymers over time, polyethylene may be
21 overrepresented, but you can see that polypropylene,
22 polyvinyl chloride, styrene-butadiene rubber, they're all
23 showing these same trends of increasing over this time
24 period.

25 [SLIDE CHANGE]

1 DR. MATT CAMPEN: All right. So what's being
2 done about that? Well, you'll see in the popular
3 literature, we're all fighting with ourselves, which is
4 fun. But different labs are coming up with different
5 approaches to digest the tissues more thoroughly. We're
6 doing this as well. We have a revised protocol that
7 allows us a secondary step. So taking that
8 plastic-laden pellet and washing it again with a
9 secondary solvent enriches the amount of plastics in the
10 pellet that we run. And we actually end up with a much
11 more robust informative chromatogram. I'm not going to
12 get into details of what you're looking at here, other
13 than to show you get a lot more signal after that wash,
14 because you can use more of the original digest.

15 [SLIDE CHANGE]

16 DR. MATT CAMPEN: When we do this we do see lower
17 polyethylene overall, but we still see the same trends
18 with dementia cases being substantially higher than
19 neurologically normal. And that holds up for most of the
20 polymers that we're looking at.

21 [SLIDE CHANGE]

22 DR. MATT CAMPEN: The other aspect of the study
23 is that we selected -- this is -- this is now looking at
24 439 biopsies from a single human hemisphere. This is work
25 from post-bacc student Laurissa Barela. And she found

1 that it was, in fact, the red area you see here is the
2 frontal cortex. That frontal cortex absolutely has the
3 highest amount compared to other regions of the brain,
4 where there's, you know, sometimes a log scale or more
5 lower concentration. So we're working on this. It's very
6 interesting and fun, but it takes a lot of work and this
7 is just an N of 1 human donor.

8 [SLIDE CHANGE]

9 DR. MATT CAMPEN: We used a couple of different
10 methods to try to locate where the plastics were. We used
11 a technique called polarization wave imaging, which takes
12 advantage of the birefringence in the particles. And just
13 to sort of back and forth on this one, you can see that
14 they really light up when you use this approach. It was
15 very hard to find these in neurologically normal brains,
16 because they're all very, very small, almost always
17 universally smaller than one micrometer. You can see
18 there's a cluster of particles here. These are nuclei of
19 cells in the brain which could be about 5 microns in
20 diameter. And you can see everything is much smaller than
21 that.

22 [SLIDE CHANGE]

23 DR. MATT CAMPEN: But in the dementia cases, they
24 were really obvious. And they were along the vascular
25 wall, as you see on the bottom, as well as in inflamed and

1 neurodegenerated regions. So this was a nice informative
2 way, but -- of localizing where these particles were. But
3 I want to emphasize it also highlighted that this is a
4 cause effect question now, because dementia is a disease
5 of a breakdown in the blood-brain barrier. And it is a
6 disease of poor clearance and inflammation. And so it
7 could well be that the disease causes a greater propensity
8 to accumulate the plastics, rather than the plastics
9 driving any disease. And I'm -- I know I'm running low on
10 time. I'm just going to highlight.

11 [SLIDE CHANGE]

12 DR. MATT CAMPEN: Again using the same type of
13 imaging, we can show that now there's a time effect. We
14 can see these very readily in samples that are
15 contemporary compared to samples from the 1980s.

16 [SLIDE CHANGE]

17 DR. MATT CAMPEN: For the sake of this group,
18 there is an ongoing global debate about whether we can
19 measure plastics in blood or other specimens using
20 pyrolysis GC mass spec.

21 Marja Lamoree is -- has been the seminal leader
22 of this from the Netherlands. And she says, yes, you can
23 measure these in blood. And then Kevin Thomas and Cassie
24 Rauert are out in Brisbane in Australia. And they've just
25 published in 2025 saying, no, you cannot. And our lab --

1 our lab sits in between these two opinions. I think that
2 this is an opportunity. There's a technology that can be
3 used here. But the concentrations in blood are very, very
4 low.

5 I liken this to the subway of Manhattan. The
6 subway of Manhattan does not possess the mass population
7 of Manhattan. It's in the buildings and elsewhere.
8 Furthermore, you might have periods where the subway
9 completely empties, like in the middle of the night. So
10 the amount in the blood may be very vulnerable to how
11 recently you've eaten, what you've been exposed to on a --
12 on a acute basis. But, yeah, this is -- these are data
13 we've had from red blood cells compared to plasma on the
14 bottom. We're still trying to work this out.

15 We have had more success with breast milk. We
16 find that we can get pretty qual -- pretty good quality
17 data out of breast milk. And we do see, especially levels
18 of PVC and PET, polyurethane, that are above our levels of
19 quantitation and levels of detection pretty consistently,
20 not as much polyethylene. One of the findings from this
21 was that formula, for instance, and cow milk tended to be
22 higher than human breast milk. We're still working on
23 this with our collaborators out on the east coast.

24 So there's potential here. We're also looking in
25 urine. Urine is quite low. Cerebral spinal fluid is

1 quite high. There is -- there are other methods as was
2 noted. And I'll talk about --

3 [SLIDE CHANGE]

4 DR. MATT CAMPEN: Yeah, this slide. So I think
5 nanoscale flow cytometry offers faster and more useful
6 approach opportunities for measuring this in biofluids.
7 There's also a -- it's a technology called stimulated
8 Raman scattering. If you remember, there was a PNAS paper
9 a couple years back that scared everybody about bottled
10 water. That was -- that was the first sort of
11 demonstration of how that technology could be used. It's
12 unfortunately slow, but it's really like high quality in
13 terms of detecting plastics. And that's Wei Bin and the
14 Columbia group, and Beizhan Yan. They're really doing
15 great work with that technology.

16 [SLIDE CHANGE]

17 DR. MATT CAMPEN: Pyrolysis GC mass spec for
18 routine monitoring. I mean, I think we're still in a
19 place where we need more validation of methods. We maybe
20 just need fundamentally different methods, so that we
21 agree, at least as a country, if not as a global community
22 on the best way to do that. It's low to medium throughput
23 with an individual pyrolysis system. You can get through
24 20 samples a day. We do see a lot of batch variability,
25 so changes in the pressure system and the flow rates, can

1 around free, or are they attached to proteins, are they
2 attached to new -- things moving in the blood. And then
3 is there good information about, you know, are they
4 catching a ride or are they just there as individual?

5 DR. MATT CAMPEN: I can tell you what I think,
6 and it's based on at least a little bit of data from my
7 lab, but it's not necessarily published. As I showed you
8 those little shards that we see when we fully digest and
9 fully disperse these part -- these plastics, we think
10 that, one, they absorb with the fats of our diet and they
11 come in through the lipoprotein system, chylomicrons.
12 Chylomicrons can be 500 to 1,000 nanometers in diameter.
13 These fit inside there exquisitely. We have some mouse
14 data that shows, yeah, if you take fluorescent 500
15 nanometer polystyrene beads and you put them in a mouse
16 gut, they show up in the chylomicron fraction.

17 From there, they're going to behave like the
18 lipids of your body, because now they're under that
19 control system. You know, apoproteins will guide it to
20 the liver, to the brain, or whatever system needs that
21 lipid energy. But from there, do they get into cells, do
22 they -- do they -- you know, one of our concerns is that
23 they might glom on with myelin, because that's very
24 lipophilic, but that hasn't held up in some of our recent
25 studies. You know, we look at white matter versus gray

1 matter and we don't -- we don't see those differences we'd
2 expect.

3 There's a graduate student at Tufts who's been
4 looking at different cell types in the brain and he shows
5 that these things get into astrocytes. They really --
6 they really don't get into or cause toxicity through
7 neurons at a high -- like very -- the neurons don't seem
8 to be very sensitive. And this all cell culture. So
9 we're -- but I mean, I love the question you're asking,
10 but, man, talk to me in 10 years.

11 (Laughter).

12 CHAIR MCKONE: All right. I'll come back in 10
13 years. You'll have to come back too.

14 STEPHANIE JARMUL: We have Jenny.

15 CHAIR MCKONE: Okay. All right.

16 STEPHANIE JARMUL: Jenny.

17 CHAIR MCKONE: Oh, Jenny. Oh, please, go ahead.

18 PANEL MEMBER QUINTANA: Hi. Thank you for that
19 really interesting talk. I was kind of wondering if your
20 student that you said looked at all the brains or other
21 people doing microscopy, if they see other particles, such
22 as crystalline silica or other particles, and I wonder if
23 differential distribution of those might say whether the
24 particle -- that microplastics are causal or not?

25 Thank you.

1 DR. MATT CAMPEN: Yeah, causal. I -- we have
2 used FTIR to look at particles. And kind of what we've
3 seen is 75 percent -- 60 to 75 percent of all solid
4 particles that we can confidently assess are polymer of
5 some sort. Otherwise, they're mineral or some other weird
6 organic. The problem with that is the technology we have
7 to do that is only looking at the large stuff, the, you
8 know, 5 micron type particles. And how it got in there, I
9 don't know, but, you know, random stuff obviously happens.

10 So, I mean, we see -- we see soot from time to
11 time. We definitely see like lead particles and other
12 minerals, but most of it is -- most of the solid particles
13 are plastic.

14 PANEL MEMBER QUINTANA: And within like one
15 brain, would they be distributed the same? So if -- you
16 know, I mean in the sense of the microplastics
17 preferentially go some area and not the other. I guess
18 you can't really tell -- sorry -- with all the -- with all
19 the size problem, I was just kind of curious that, you
20 know, maybe if they were shown to be taken up differently,
21 I imagine that being taken up from the gut would be
22 different than going through the olfactory bulb into the
23 brain.

24 DR. MATT CAMPEN: Yeah.

25 PANEL MEMBER QUINTANA: And I was -- I'm kind of

1 just curious about other occupational exposures that have
2 been going on for years where they have particles that
3 probably sit in their brains, but this seems very new and
4 different, so thank you.

5 DR. MATT CAMPEN: And yet, it's not new. We just
6 are learning about it now, right? The -- that one
7 brain -- and I hate the olfactory bulb idea, because just
8 from a mass transport like you need to eat food to absorb
9 substance en masse. And yet, what Laurissa did --
10 Laurissa Barela's data shows is that the first sort of
11 like seven sections out of 25 sections going to the back
12 of the brain, the first seven really well represented by
13 nylons. And if you think about how we just live in a
14 cloud of our own making of particles, and the potential
15 that, yeah, maybe it's just the clothes we're wearing on a
16 daily basis are just constantly hitting the nose, and it
17 could be that this one -- and this is an N of 1, that one
18 person may be just loved their polyester track suit. I
19 don't know.

20 (Laughter).

21 DR. MATT CAMPEN: So, you know, like I said, in
22 10 years, we'll have some pretty good answers. Right now,
23 it's all conjectural.

24 PANEL MEMBER QUINTANA: Thank you so much.

25 DR. MATT CAMPEN: Yeah, great questions.

1 CHAIR MCKONE: Okay. Lara.

2 PANEL MEMBER CUSHING: Hi. This is Lara Cushing.
3 Thanks for a fascinating talk. You mentioned how we know
4 so much more about the human health impacts of
5 plasticizers, metals, flame retardants, and things in
6 plastics. Is it -- do we know -- I'm guessing the answer
7 is no, but do we have any sense about what proportion of
8 our exposure to those things is coming via microplastics?

9 DR. MATT CAMPEN: I think none of it is. It's
10 not a popular take. And there's actually a couple people
11 who really agree with me. Todd Gwynn out of the UK and
12 Mark Weester out of Duke. And the -- our basis for this
13 is the idea that we think that most of the problem is
14 really the secondary plastics, the really, really old
15 stuff. And anything that could leach off of that has
16 already leached off of it, especially when you consider
17 the nature of these little flakes that might be 5 to 20
18 nanometers thick. Well, that's, you know, 15 to 60 carbon
19 thick. That is -- that is not enough room to fit another
20 molecule of anything.

21 So those are -- those are where we're coming
22 from. That said, you might be taking up particles of
23 those little flakes that are already agglomerated and they
24 could have taken up things from the environment and sort
25 of packaged them as a slightly larger particle that's an

1 agglomerate. You know, we're -- we're eating the plastics
2 that the chicken ate and repackaged in their own way. So,
3 there's a whole lot of unknowns about that.

4 Right now, I'm kind of on the opinion that the
5 plasticizing chemicals are just in the environment and
6 they're coming in on their own, but a lot of people have
7 different opinions and there's papers coming out all the
8 time that are giving us more information on that.

9 PANEL MEMBER CUSHING: Thanks.

10 CHAIR McKONE: Um-hmm.

11 More questions. We can actually move into a
12 broader discussion and comments also at this point, but...

13 Amy.

14 PANEL MEMBER PADULA: Thanks. I'm just trying to
15 soak this all up. So you wouldn't necessarily expect
16 then, as we were talking before, of looking at, you know,
17 plastic measurements that we have in biomonitoring,
18 related to plastic chemicals, to necessarily correlate
19 then with microplastic measurements. That's interesting.
20 I'm still observing that all.

21 I guess my question is I was wondering if you
22 could speak to some of the work that you've done in
23 placenta and what you think that also says about how we
24 can take steps to, in looking at health effects and, yeah,
25 how they get there.

1 DR. MATT CAMPEN: Okay. The placenta has been an
2 interesting opportunity there. We do see a small trend
3 for the concentration of plastics in the placenta and
4 complications of maternal fetal health. It's sort of low
5 level trends, but, you know, the risk for preterm birth is
6 there, the risk for complicated delivery is there. We
7 haven't seen anything reflecting on fetal outcomes, from
8 what I can tell -- and this is all working with a group at
9 Baylor College of Medicine Enrico Barrozo. What's amazing
10 about the placental work I think really comes from Phoebe
11 Stapleton's lab where she's been able to show that, yeah,
12 these particles easily get to the placenta and they easily
13 get across the placenta.

14 One of the data points in our study, people sort
15 of overlooked this, but it was a -- it was a stillbirth.
16 And I think the concentrations in the brain were, you
17 know, a thousand micrograms per gram. So the idea that --
18 you know, I don't want to judge the Panel or the audience,
19 but I'll speak for myself. I'm pretty confident I was
20 born without plastics in my brain some 50 odd years ago.
21 That's not the case today. So it really does, you know,
22 fundamentally alter how to look at the problem.

23 The other thing I'll add is this idea of the
24 apoproteins and the chylomicrons. The placenta loves
25 those. That's the whole point is they're going to grab

1 hold of those nutrient packets that you absorbed and take
2 them up and deliver them to the fetus. You can't block
3 it, because then you're going to block all those yummy
4 nutrients and lipids that a growing fetus needs. So we've
5 struggled with how to intervene in any kind of mechanistic
6 way to, you know, protect the baby from that absorption,
7 but I'm not sure we can at this point.

8 PANEL MEMBER PADULA: Thank you.

9 DR. MATT CAMPEN: Sorry. That got depressing.

10 (Laughter).

11 CHAIR McKONE: Others.

12 Yeah, Carl.

13 PANEL MEMBER CRANOR: This is perhaps an unusual
14 question. But in a lot of areas, for example, we know
15 lead is very bad for people. But the people that study it
16 say there's no safe levels, so are those -- those are kind
17 of particles or what. So lead could come in particles.
18 Benzene, I don't know, it comes in molecules. But there
19 are the -- the numbers have been driven pretty low too. I
20 don't -- I haven't kept up with that, but I know that
21 Martyn Smith could identify adverse effects at 0.5 parts
22 per million instead of one parts per million.

23 CHAIR McKONE: I think billion.

24 PANEL MEMBER CRANOR: Pardon?

25 CHAIR McKONE: I think billion.

1 PANEL MEMBER CRANOR: Is it billion? One part
2 per million is the -- is a human health -- adverse human
3 health effects, at least half of that, but maybe it's much
4 tinier. I don't know.

5 So are plastics like lead or are they like
6 benzene, which are smaller and smaller concentrations of
7 molecules? Any ideas about that you're -- you're very
8 interesting, so since you're here, I'll quiz you.

9 DR. MATT CAMPEN: So, I mean, my perception right
10 now is -- I don't see enough evidence to say there's a
11 health effect of plastics. I think we're getting there
12 on -- and microplastic and nanoplastics particles, not the
13 plasticizers. Different ball game. The nanoplastic,
14 they're clearly inside us, and yet our population is not
15 showing any major changes in -- I mean, I'm sure there are
16 people that will debate me on this, but no major changes
17 in longevity or health quality. Yeah, it would be nice if
18 we lived longer in this country, because other countries
19 live longer, but, you know, you take out drinking and
20 driving, you take out handgun use, and you take out
21 opiates, and it -- that quickly puts us right back on the
22 normal curve for longevity.

23 There are a few diseases that have been going up
24 in recent years. There's certain forms of cancer,
25 colorectal cancer early onset, ER positive breast cancer.

1 There's, you know, multiple sclerosis is an interesting
2 idea. Autism is a political minefield and an interesting
3 idea, but trying to find anything that's really tightly
4 associated with exposure to something that's otherwise
5 inert. I mean, we take little springy wire devices and
6 lace them with polymers and we put it into people's
7 coronary arteries and they get 15 more years of life.

8 So to throw, you know, plastics out the window,
9 when we can -- when demonstrate -- you know, it's a tough,
10 you know, relative risk versus absolute risk kind of
11 question we have to entertain. So there, I tried to be
12 interesting.

13 (Laughter).

14 PANEL MEMBER CRANOR: It's been interesting.
15 Thank you.

16 CHAIR McKONE: Yes. Question and --

17 JOSEPHINE DeGUZMAN: Hi. This is Josephine
18 DeGuzman from the Department of Public Health. I was
19 curious to know if you had any experience in finding
20 microplastics contamination in any of the materials you
21 were using or during any of your analysis steps?

22 DR. MATT CAMPEN: You mean in like procedural
23 blanks, that -- just quality control steps?

24 JOSEPHINE DeGUZMAN: Yeah. Just maybe the tips
25 that you're using or the, you know, tubes you're using for

1 sample storage, or during any of the steps, if you happen
2 to come across any microplastics contamination.

3 DR. MATT CAMPEN: So nothing -- with py G --
4 pyrolysis GC mass spec, we really don't have great low
5 concentration resolution. We can -- we can definitely see
6 contaminants with FTIR. Here's a -- here's one little
7 plastic particle or another. They're pretty infrequent.
8 What was interesting about the brain study was here we had
9 tissues stored for eight years in plastic jars. You know,
10 they're in formalin, but in plastic jars, and they had
11 significantly lower amounts of plastic as the ones that
12 were only in the jars for like two months -- two, three
13 months.

14 So, the contamination from those flesh -- fresh
15 plastics into the tissue is quite low. Whereas, you know,
16 like if you're working with a fluid sample -- like, you
17 know, if you're working with river water or some
18 environmental water sample, you're capturing everything.
19 But with a tissue sample, you're really worried about
20 what's inside it. And the stuff that gets exposed on the
21 surface is going to be washed away and not really relevant
22 to the stuff inside it.

23 And those particles don't penetrate into tissue
24 like a chemical might. You know, there's a physical
25 barrier, so -- but we do. I mean, we use all kinds of QC

1 procedures. And it is very time-consuming, just sort of
2 adding to the burden of what you have to do when you're --
3 when you're using Py GC-MS, procedural blanks, tissue
4 planks. We've been trying to use really, really old
5 samples. We've got kangaroo rat brains from 1966 that
6 don't have any of those little flakes that I showed you at
7 the beginning. They've been valuable for a lot of
8 proof-of-concept studies. But trying to get human brains
9 that are that old has been a challenge.

10 NANCY BUERMEYER: Thank you all for the very
11 illuminating presentation. Nancy Buermeyer with Breast
12 Cancer Prevention Partners. And I am worried about that
13 ER positive breast cancer trend, and particularly among
14 young women.

15 DR. MATT CAMPEN: Yeah.

16 NANCY BUERMEYER: And I appreciate being a
17 careful scientist and saying that this is a new science
18 and we don't really know. And as an advocate, which is
19 what I am, not a scientist, it makes me crazy, because in
20 10 years, you looked at that exponential growth of plastic
21 and waiting for those answers, those definitive answers,
22 in 10 years is going to be well past the time of being
23 able to do anything about the damage that's being done
24 right now.

25 And when you look at -- I mean, maybe it's all

1 inert. I personally am not excited about having a lot
2 more plastic in my brain now than I did in 2016. So, keep
3 doing the great work that you're doing, and when people
4 ask, say it's a concern, so that those of us trying to
5 actually do something about it can have the support we
6 need. Thanks.

7 DR. MATT CAMPEN: And I -- what was your name
8 again?

9 NANCY BUERMEYER: Nancy Buermeyer.

10 DR. MATT CAMPEN: Nancy Buermeyer. Thank you for
11 doing your work, because we all have to play our roles.
12 And it kills me to keep my mouth shut about things like
13 this. So I do appreciate your voice in this -- in this
14 forum. Yeah, we're resource limited. We have to do a
15 good job with what we've got. And we're fighting a lot of
16 silly battles because of, you know, the typical
17 antagonists you get in this world. So we'll keep pushing
18 toward.

19 What I'm pleased about is that, you know, since a
20 lot of our work and some of the other work that's come out
21 over the last year or two, the Grove Collaborative and 5
22 Gyres did a survey and it showed 80 percent of Americans
23 agree that microplastics are a problem. Good luck getting
24 80 percent of Americans to agree on anything.

25 (Laughter).

1 DR. MATT CAMPEN: So I feel like we have momentum
2 and we have the audience we need right now.

3 NANCY BUERMEYER: Thank you. And just one last
4 comment, which is one of the things that's hard about the
5 job I do is that the chemical industry demands absolute
6 positive proof of harm before anything can be regulated.
7 Now, we've been able to move forward some stuff, but that
8 level of scientific surety happens almost nowhere, and we
9 really need to take a precautionary approach.

10 DR. MATT CAMPEN: Yeah. And the chemistry of
11 age-degraded polymers is a mess, and you're never going to
12 get the kind of purity that they're asking -- they're
13 expecting. And so, we already know there's going to be
14 this awful battle. The shards I showed at the beginning,
15 we've already seen sort of the first stages of that being
16 rejected from publication. We're coming back with better
17 data, but yeah, people want these to look like fresh
18 particles, because that's what you can prove.

19 DR. MARTHA SANDY: This is Martha Sandy. I've
20 got a question. And I'm with OEHHA and I wanted to thank
21 you for your excellent presentation.

22 I realize it's a very young science and you
23 are -- you know, gave us a little window into what you
24 have done so far. It looked like for a time trend in the
25 brain, the levels of particles kind of jumped after 2016

1 higher, and that might just be an artifact of the samples
2 and the age, you know. But I'm just -- you also mentioned
3 you've looked at kangaroo rats and you probably looked at
4 other things. Are you seeing a gradual time trend or are
5 you getting an inkling there might be a step function
6 there somewhere?

7 DR. MATT CAMPEN: I'm seeing so much noise in an
8 assay that needs to be further refined. I appreciate what
9 you're saying. I think it would be relatively easy --like
10 I could -- I could make an assumption that brains from
11 1920 didn't have any plastic in it, draw zero, and then,
12 you know, all of a sudden, yeah, you start to see that
13 hockey stick function. Need more data. Need more
14 samples. I was hoping we would see more of that with the
15 data we had, but the noise, interindividual variability
16 was too much to really make such a proclamation, but I'm
17 with you on that.

18 STEPHANIE JARMUL: We do have a comment online
19 really quick.

20 Jianwen, did you want to unmute.

21 DR. JIANWEN SHE: Yes. Thank you. And thank you
22 Professor Matthew Campen. This is Jianwen She,
23 Biochemistry Section Chief at CDPH, manage biomonitoring
24 measurement method at CDPH, but not microplastic. I was
25 very interested to learn from you and also the other two

1 speakers, include our Branch Chief, Jeff Wagner.

2 I have one question regarding -- first, regarding
3 analytical method, heard from both of you pyrol GC-MS
4 might be weak. So pyrol analysis may be measure the
5 primer or pentamers. Polymer can have different molecular
6 weight. And then so it very had to know what the original
7 microplastic is. It may be a limitation there, but that's
8 one comment here.

9 And I finish all my three comments and then we
10 can discuss.

11 The second one, even the same polymers that have
12 different molecular distributions, for example, like say
13 PEG, poly - polyethylene glucaride. So molecular weight
14 can from 400 up to 20,000. That's because distribution
15 depend on manufacturing. So the -- which means a lot of
16 particle could have large molecular microplastic. So
17 that's -- so I don't know how that affect the highest
18 effect and also distribution in the bodies.

19 Second is for California as a Program, let's say
20 I try to do a biomonitoring of microplastic as a
21 laboratory manager, I need to ask my question is what's
22 the best matrices? Traditionally, we use the urine and
23 the blood right now to do other chemicals, persistent
24 organic, low persistent, and metals. So I can only come
25 out one matrices is that the brain tissue very hard to get

1 or adipose tissues through biopsy cannot be used for
2 biomonitoring. So only matrices I think is feasible will
3 be feces. I don't know what's your opinion. And is that
4 urine or blood. Feces might be one.

5 Second, I said -- last question comment about
6 your publication is very high respected journals. We know
7 all of the microplastic -- our bodies is made from
8 plastic, as a polymers, but our body can process that by
9 degrade the polymers. And also the polymer have its
10 fraction, it have precise length, whatever the molecular
11 weight.

12 And industry microplastic, they're formed by a
13 covalent bond. Our body does not have the capability to
14 digest it or break down, unless it is designed as
15 biodegradable polymers. Our body might have a little bit
16 capability to break down. So my question is many of the
17 plastics, you do have the additive, which does not form by
18 the covalent bond. That doesn't add it. So we were low
19 PBD, BP-BPE is co-polymers that bonded, but there are
20 other additives to -- against oxidation protect the
21 polymer, make it softer, make it harder.

22 My -- so you find a high level of the co-bonded
23 microplastic near the tissues, brain tissue, here there.
24 But where does this low -- the additive, which is a lot
25 bonded in the polymer. So my suggest -- my thinking is if

1 we find a very high level copolymers, whatever, formed by
2 the covalent bond, we should also be validated if that
3 chemical microplastic is also made from by insert
4 additives. Are they co -- as a close validation or they
5 reflect the same way in the body. So that's my comment
6 here.

7 DR. MATT CAMPEN: Okay. So I'll work from the
8 beginning. I think the reason that pyrolysis is kind of a
9 nice opportunity with polymers. Polymers, as you point
10 out, such a wide mixture. You know, I'll share this one
11 image I have up on the screen here, because it's handy.
12 This is using Tim's TOF-MALDI to look at -- this is just
13 showing the molecular weight of on the top, these are the
14 peaks we get from pure polyethylene. It's polyethylene,
15 but look at all the peaks. There's no one molecule that
16 is polyethylene. It's all of these. The one in the
17 middle is really, really old aged polyethylene. So now it
18 looks really different. And then the bottom is a mixture
19 of stuff. And so you start getting, you know, really,
20 really ridiculous trends. And how do you quantify all of
21 this in a way that says how much mass of plastic is in
22 your body.

23 So, that's why pyrolysis is nice, because it
24 collapses that complexity down to a couple of things that
25 are commonly emitted when you combust this. So, it's --

1 for polyethylene, we look at a carbon -- 20 length carbon
2 chain. And it works. You know, it's a pretty good linear
3 trend when we do our standard curve. But it falls apart a
4 little bit with aged plastics. We see that the bigger
5 plastics, like the C-20 through C-40, we lose that and we
6 see more of the smaller ones, like only six carbons long.
7 Anyway, it's a long story.

8 I think that's sort of the first couple points
9 you wanted to address. The best matrix, I'm hoping we can
10 get something good with urine, because, gosh, it's easy.
11 But even then, we're going to have to do really good
12 characterizations of when we see surges and when we see
13 reductions. Is it related to mealtime? Is it related to
14 certain exposures? There's a lot of work that needs to be
15 done there.

16 CSF is hard to get, but that's been the one that
17 has the most robust concentrations. And the Columbia
18 Group women's group with their Stimulated Raman
19 Scattering, I think they agree with us on that.

20 DR. JIANWEN SHE: Sorry. Sorry to interrupt. Is
21 molecular over one thousand.... can go through the
22 membrane and --

23 CHAIR McKONE: I'm sorry. We

24 DR. MATT CAMPEN: Is this going too long?

25 CHAIR McKONE: We have to --

1 DR. JIANWEN SHE: Go ahead and finish. I
2 interrupt you.

3 CHAIR McKONE: So we're cutting into our public
4 comment period. We have to give people time, so we have
5 to kind of bring it to a close. I mean, you can answer
6 the last point, but I didn't -- but I didn't want to open
7 this into a back and forth discussion, when we're
8 running --

9 DR. JIANWEN SHE: Okay. Thank you.

10 DR. MATT CAMPEN: Yeah. Yeah. Yeah. What I'll
11 say for the last question about degrading in the body,
12 we're not sure if they degrade in the body. These things
13 are so old, they might be breaking down and they might
14 have long enough residence time that they're falling apart
15 and that might be part of their pathology. We don't know.
16 But, yes, if you could create plastics that were
17 vulnerable chemically to digestion by stomach acids or
18 enzymes, that would probably be a -- that might be a win
19 for product design.

20 DR. JIANWEN SHE: Thank you very much.

21 CHAIR McKONE: Yes.

22 DR. MATT CAMPEN: Great. Great questions.

23 CHAIR McKONE: Thank you, everyone. Sorry to cut
24 it off a bit, but we do try to stay on schedule if we can
25 and not cut other people off for the final part.

1 So this concludes the discussion of
2 microplastics. And we're going to move to the last phase
3 of the meeting, which is our open public comment period.
4 We have scheduled 10 minutes for open public comment, at
5 which time commenters can talk about any aspect of
6 biomonitoring. Webinar attendees can submit comments and
7 questions via the QA function in Zoom or by emailing
8 biomonitoring@oehha.ca.gov. And we will read -- and we
9 will read them out loud. If you wish to speak, please
10 alert us using the "Raise Hand" feature in Zoom -- in Zoom
11 webinar and McKenna Thompson will call on you at the
12 appropriate time.

13 If you are here in person and wish to comment,
14 please come to the front or raise your hand and I will
15 call on you and ask you to come forward. For the benefit
16 of the transcriber, we encourage you to clearly identify
17 yourself before providing comments and write your name and
18 affiliation at the sign-in sheet at the back of the room.
19 However, there is no obligation to identify yourself and
20 you are free to comment anonymously, if you wish.

21 We are expecting a number of public comments and
22 want to give everyone an opportunity, so we really want to
23 limit each commenter to no more than five minutes.

24 DR. AHIMSA PORTER SUMCHAI: Dr. Ahimsa Porter
25 Sumchai. And I'm trying to figure out how to configure

1 this, so that you can see the show-and-tell I brought
2 along with me, as well as the audience. And maybe I can
3 get some help on this. If I turn this direction, can the
4 panelists still --

5 STEPHANIE JARMUL: Maybe move back a little bit
6 and then they should be able to see.

7 DR. AHIMSA PORTER SUMCHAI: Here?

8 STEPHANIE JARMUL: Yes.

9 DR. AHIMSA PORTER SUMCHAI: Okay. All right.
10 Well, I am the founder, the principal investigator, and
11 the CEO of the Hunters Point Biomonitoring Foundation.
12 And what I brought with me today is what we call the
13 Hunters Point Toxic Registry multi-layer metadata
14 geospatial mapping.

15 And this map, believe it or not, compiles six
16 years of research that includes human biomonitoring for
17 environmental chemicals, specifically toxic heavy metals.
18 It also overlays six unique geospatial mappings. It is
19 mounted on ArcGIS, powered by ESRI. ESRI is Environmental
20 Systems Research Institute. It is the global market
21 leader in geospatial information.

22 And what this map does in its visual display I
23 believe comes close to proving exposure. And let me just
24 begin by telling you a little bit about the clusters.
25 There are six unique clusters that are overlapped in this

1 map. The first cluster I sent you in your agenda, that is
2 the nuclear cluster. It is very specific for residents
3 and workers living within the one-mile perimeter of a
4 radiation-contaminated federal superfund site. It uses
5 alpha spectrometry to detect the energy of known alpha
6 emitters that are products of nuclear fission, so '
7 Plutonium-238, Plutonium-239, Uranium-235, Uranium-233,
8 Uranium-238. They are all detected in the nuclear
9 cluster.

10 On top of the nuclear cluster, we have the
11 radiogenic cancer cluster, that is the cluster of people
12 documented to have been diagnosed with cancers that have
13 been proven to be induced by exposure to ionizing
14 radiation. This proof comes from the Atomic Bomb
15 Registry, the Veterans Administration. I was the
16 Environmental Health Coordinator for the Palo Alto
17 Veterans Administration Toxic Registry, and that is one of
18 the first experiences that I had in detecting toxins using
19 biomonitoring. So those cancers you know, brain, breast,
20 thyroid, lung, soft tissue, hematopoietic acute leukemias
21 and lymphomas. So that is the radiogenic cancer map.

22 One of the simplest and most elegant maps that
23 I'm very proud of. I think I deserve the Nobel Prize
24 nomination for, that is the map that was created when I
25 took a manual posterboard and put a red pin at the address

1 of a woman who had been diagnosed with breast cancer. And
2 that mapping is called the breast cancer necklace, because
3 it forms roughly a semi-circle within the half mile
4 perimeter of the base, specifically it encircles the
5 Parcel E-2 industrial landfill. This is a landfill that's
6 known to be radiation contaminated. If you Google search
7 circular clusters, you're going to find several in the
8 United States that are encircling toxic dumps and
9 landfills.

10 So the next cluster is called the South Basin
11 Cluster. I'll speed it up. That was a unique cluster
12 that I identified visually after only about a year of
13 collecting urine samples. And that's where we saw people
14 living within the watery south basin of the base who were
15 coming up with the same four chemicals in their body. In
16 fact, we have three neighbors, you know, who are living
17 within blocks of one another who had the four chemicals.
18 And the chemicals are arsenic, gadolinium, manganese, and
19 vanadium.

20 And then finally, the last map is the heatmap.
21 That's where ArcGIS calculated the maximum intensity of
22 exposures based on all of these clusters. And ArcGIS
23 determined that the heat of the clusters is within 1.8
24 miles of the Hunters Point Shipyard federal superfund
25 site.

1 And then the final mappings, that's where you're
2 seeing your red, and your orange, and your yellow. You'll
3 be -- all of you are familiar with the CalEPA EnviroScreen
4 mappings. Anything that's orange is in the 95 -- oh,
5 excuse me the 90 to 95 percentile, anything in this red is
6 95th to 100 percentile. So, you know, that it's
7 significant if you're seeing red and orange in this
8 mapping. And there also, the CalEnviroScreen
9 disadvantaged community mapping. You'll notice that we
10 weren't able to upload the EPA EJ screen because this
11 administration has made it disappear.

12 But my point is that this is geospatial visual
13 evidence of cumulative impact. The CalEnviroScreen 4.0 by
14 the way is also mounted on ESRI and can give you a picture
15 like this. But the unique finding that you see here
16 within the 1.8 mile perimeter of the federal superfund
17 site -- by the way the NPL outline of the federal
18 superfund site is also in the map.

19 CHAIR McKONE: I think you're going to have to
20 wrap up.

21 DR. AHIMSA PORTER SUMCHAI: Yeah. So that's the
22 point that I was --

23 CHAIR McKONE: Thank you.

24 DR. AHIMSA PORTER SUMCHAI: -- going to make,
25 that I believe that this is a visual display that proves

1 that all of these disease and chemical clusters of the
2 source is within the one point mile interval of a federal
3 superfund site.

4 CHAIR MCKONE: Okay. Thank you.

5 STEPHANIE JARMUL: Thank you, Dr. Sumchai for
6 your comments and for all your work. And then we have a
7 couple more public comments.

8 ARLENE BLUM: I have a very different topic and a
9 short comment. We work right now on antimicrobials and I
10 just wanted to just put in a little pitch for
11 chloroxylenol being designated -- on the designated
12 chemical list.

13 And a quick story. People may not know is a
14 decade ago, the FDA decided that you did not need
15 antimicrobials in soap. Indeed that they -- soap and
16 water does everything you need and adding antimicrobials
17 can cause a variety of health harm and can contribute to
18 antibiotic resistance. And they decided to eliminate all
19 antimicrobials from soap. But the manufacturers of three
20 antimicrobials asked for a year to show that these three
21 did not cause harm and helped human health. And they said
22 that in 2016, and the three are still in use. Two are
23 quaternary ammonium compounds and the third is
24 chloroxylenol, an organohalogen.

25 And the -- there's a lot of work at DTSC that may

1 eventually lead to quaternary ammonium compounds being in
2 soap, being a designated chemical product combination and
3 that they're trying to make the point that if that does
4 happen, then the manufacturers are all going to use the
5 chloroxylenol and that will be in our soap. And it has a
6 lot of concerns that I will not go into, because I think
7 you're going to read -- someone sent a whole health
8 summary and an abstract. But I just wanted to know the
9 history of why chloroxylenol is more important than you
10 would imagine, given that it will probably be the one that
11 continues to be used, so...

12 CHAIR McKONE: Thank you for your comment.

13 STEPHANIE JARMUL: Thank you. That was Arlene
14 Blum and --

15 ARLENE BLUM: Oh, I'm sorry.

16 (Laughter).

17 STEPHANIE JARMUL: And we also got a comment from
18 Rebecca Fuoco from the Green Science Policy Institute.
19 She's the Director of Science Communications.

20 She says, "Dear members of the Biomonitoring
21 California Scientific Guidance Panel, the Green Science
22 Policy Institute recommends that chloroxylenol be added to
23 the Biomonitoring California Program's Designated
24 Chemicals List. This widely used antimicrobial has
25 recently been detected in human breast milk, and --

1 (clears throat). Sorry -- and animal -- (coughs) -- and
2 animal studies. Link it to endocrine disruption,
3 reproductive toxicity, cancer, neurotoxicity,
4 cardiovascular toxicity and skin harm. Emerging evidence
5 also suggests it can contribute to antimicrobial
6 resistance. Chloroxylenol use is expected to grow as
7 other antimicrobial active ingredients face regulatory
8 scrutiny in California. A formal request is provided
9 below and we welcome any questions."

10 And we have already posted this comment and the
11 document that was provided to our website so is available
12 under our public comments section.

13 Thank you.

14 NANCY BUERMEYER: Super quick. I know we're over
15 time. Nancy Buermeyer, Breast Cancer Prevention Partners.
16 I just want to associate myself with the comments of my
17 colleagues, Arlene Blum and Rebecca. It's great that
18 quaternary ammonium compounds were designated -- or were
19 on the list as a class to avoid those kind of regrettable
20 substitutes, but chloroxylenol provides that potential of
21 a regrettable substitute, if we don't add that to the
22 list, so that it can come under the same kind of scrutiny.

23 Thanks.

24 CHAIR McKONE: Thank you for the input. Okay.
25 More comments or is that -- that's the end of public

1 comment. All right. Well, thanks everyone for your
2 attendance and participation. So now it's time to wrap
3 up. The transcript of this meeting will be posted on the
4 Biomonitoring California website when it becomes
5 available. The next meeting will take place on August
6 3rd, 2026, from 10 a.m. to 4 p.m. in Oakland. I think
7 probably in this room, right? It's currently scheduled
8 for Oakland. Okay. But it says -- it will be in Oakland,
9 right, for those who have to plan travel.

10 So I want to thank all the Panel members and
11 audience for participating and making this very
12 interesting meeting, and I now adjourn the meeting.

13 (Thereupon the California Environmental
14 Contaminant Biomonitoring Program, Scientific
15 Guidance Panel meeting adjourned at 4:08 p.m.)
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Environmental Contaminant Biomonitoring Program Scientific Guidance Panel meeting was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription.

I further certify that I am not of counsel or attorney for any of the parties to said meeting nor in any way interested in the outcome of said meeting.

IN WITNESS WHEREOF, I have hereunto set my hand this 15th day of March, 2025.



JAMES F. PETERS, CSR
Certified Shorthand Reporter
License No. 10063